

1

## VOLUME II

2

3 ADVISORY COMMITTEE ON BLOOD SAFETY AND AVAILABILITY

4

5 DEPARTMENT OF HEALTH AND HUMAN SERVICES

6

7

## Thirty-fourth Meeting

8

---

9

10 The above-mentioned meeting of the Advisory

11 Committee on Blood Safety and Availability was

12 continued on Friday, May 30, 2008, commencing at 8:35

13 a.m., at The Hilton Rockville Hotel, 1750 Rockville

14 Pike, Rockville, Maryland 20852, before Robert A.

15 Shocket, a Notary Public.

16

17

18

19

20

21 REPORTED BY: Robert A. Shocket

1 APPEARANCES :

2

3 PARTICIPANTS/MEMBERS :

4

5 ARTHUR W. BRACEY, M.D., Chair

6 JERRY A. HOLMBERG, Ph.D., Executive Secretary

7 RICHARD J. BENJAMIN, MBChB, Ph.D.

8 ANN MARIE BENZINGER

9 JAMES S. BOWMAN, III, M.D.

10 WILLIAM DUFFELL, JR., Ph.D.

11 JAY S. EPSTEIN, M.D.

12 ANNE MARIE FINLEY

13 HARVEY KLEIN, M.D.

14 LIEUTENANT COMMANDER LOPATKA

15 ILEANA LOPEZ-PLAZA, M.D.

16 KLAUS NETHER

17 GREGORY J. POMPER, M.D.

18 GLENN RAMSEY, M.D.

19 LINDA THOMAS-WADE

20 DARRELL J. TRIULZI, M.D.

21 (Appearances Continued on the Next Page)

1 APPEARANCES CONTINUED:

2

3 GUEST SPEAKERS/PRESENTERS:

4

5 H. FRANKLIN BUNN, M.D.

6 JEFF CARSON, M.D.

7 LARRY DUMONT, M.B.A., Ph.D.

8 MARK GLADWIN, M.D.

9 SIMONE GLYNN, M.D., MSc, MPH

10 COLLEEN GORMAN KOCH, M.D., M.S.

11 TIMOTHY McMAHON, M.D.

12 MARIA STEINER, M.D., M.S.

13 DARRELL J. TRIULZI, M.D. (Written Statement)

14

15 PUBLIC PARTICIPANTS:

16

17 LISA CARBO

18 BASIL GOLDING

19 WILLIAM G. MURPHY, M.D.

20 TERESA WIGMAN

21

1 P-R-O-C-E-E-D-I-N-G-S

2 DR. BRACEY: Good morning and welcome to  
3 the second day of the 34th meeting of the Advisory  
4 Committee on Blood Safety and Availability. We heard a  
5 great amount of data yesterday regarding platelet  
6 safety issues. Today we have a number of distinguished  
7 presenters who will share information with us regarding  
8 red blood cell physiology and outcomes associated with  
9 red blood cell transfusion. Mr. Secretary, would you  
10 like to take the roll call?

11 DR. HOLMBERG: Sure. Thank you. Dr.  
12 Benjamin?

13 DR. BENJAMIN: Present.

14 DR. HOLMBERG: Ms. Benzinger?

15 MS. BENZINGER: Here.

16 DR. HOLMBERG: Ms. Birkofer is absent. Dr.  
17 Bloch is absent. Dr. Bracey?

18 DR. BRACEY: Present.

19 DR. HOLMBERG: Dr. Duffell?

20 DR. DUFFELL: Present.

21 DR. HOLMBERG: Ms. Finley?

1 MS. FINLEY: Present.

2 DR. HOLMBERG: Oh. Dr. Haley is absent.

3 Dr. Ison had to leave. Dr. Pierce is absent. Dr.

4 Lopez?

5 DR. LOPEZ: Present.

6 DR. HOLMBERG: Dr. Matyas, Mr. Matyas?

7 Juan Pierce is absent. Dr. Ramsey?

8 DR. RAMSEY: Good morning. Present.

9 DR. HOLMBERG: Dr. Pomper?

10 DR. POMPER: Present.

11 DR. HOLMBERG: Ms. Thomas-Wade?

12 MS. THOMAS-WADE: Present.

13 DR. HOLMBERG: Dr. Triulzi?

14 DR. TRIULZI: Here.

15 DR. HOLMBERG: And then on the government

16 side, Dr. Epstein?

17 DR. EPSTEIN: Here.

18 DR. HOLMBERG: Dr. Klein?

19 DR. KLEIN: Here.

20 DR. HOLMBERG: Dr. Bowman?

21 DR. BOWMAN: Here.

1 DR. HOLMBERG: And Dr. Kuehnert is absent.  
2 And Lieutenant Commander Lopatka?

3 L.C. LOPATKA: Here.

4 DR. HOLMBERG: We have a quorum, Mr.  
5 Chairman.

6 DR. BRACEY: All right. What I would like  
7 to do is yesterday in our discussions regarding the  
8 various reports on adverse events, we did hear about  
9 some potential gaps and we discussed earlier three  
10 specific recommendations that we thought would help  
11 improve the ability to monitor and assess adverse  
12 events. So what I would like to do initially is to  
13 simply to flash up on the screen -- the file is labeled  
14 ACBSA Recommendations for 5/30 and Transplantation.  
15 And, I don't want to really finalize this now but I've  
16 got a working group who, that's volunteered, Dr.  
17 Epstein and others, but specifically, I'll just read it  
18 through.

19 "Whereas the HHS Advisory Committee on  
20 Blood Safety and Availability is charged with advising  
21 the Assistant Secretary on public health issues related

1 to safety of tissue and organ transplantation, the  
2 Committee recognizes the need for the following  
3 measures: One, acquisition of data on tissue use to  
4 allow current surveillance activity done by HHS to  
5 better determine the frequency of adverse events  
6 reporting; two, capture of appropriate data regarding  
7 etiologic agents of infections reported following organ  
8 transplantation to allow for better assessment of  
9 infectious risk related to transplantation, and, three,  
10 support for improvement of infectious disease assays to  
11 meet the need for accurate tests with rapid turnaround  
12 time to allow for efficient organ procurement to  
13 enhance organ availability. Current systems approved  
14 for diagnostic testing should be evaluated for  
15 screening potential organ donors."

16           So the broad issues are stated and I would  
17 like basically to have the working group, that this  
18 come back to the Committee, so later in the afternoon  
19 we can digest it. Are there any major grasp seen of  
20 what we have thus far? Okay. That said, that will be  
21 the plan.

1                   Moving on to today's business, this  
2 morning's business, then, Mr. Secretary, could you  
3 flash up the questions from the Assistant Secretary?  
4 Today the information that the Assistant Secretary  
5 seeks from us is the following and I would ask you to  
6 keep this in mind as you hear the presentations. One,  
7 do current data support a change in medical practice  
8 from transfusing red cells stored for as long as 42  
9 days to transfusing red cells that are stored for much  
10 shorter periods of time? If so, what impact would the  
11 shift in practice have on blood availability in the  
12 U.S.?

13                   Two, is there a need for additional  
14 research to evaluate red cells stored for longer  
15 periods of time, are as safe and clinically effective  
16 as red cells stored for shorter periods of time? And  
17 also to understand the nature of the red cell storage  
18 lesion.

19                   Third question is what impact would a  
20 change in transfusion medicine practice have on blood  
21 availability? And four, should the blood banking

1 industry strive to produce improved red blood cell  
2 products? So as we hear the information today, I would  
3 ask you to keep those questions in mind because our  
4 task is to advise on those specific issues.

5                   To begin, I would like to introduce our  
6 first speaker this morning. We're very privileged to  
7 have Dr. H Franklin Bunn present on clinically  
8 significant biochemical physiologic changes in red  
9 cells during storage. Dr. Bunn is research director of  
10 the hematology division of Brigham and Women's  
11 Hospital. He's done major work in the field of red  
12 cell physiology, including work on leukoreceptors and  
13 many aspects of red cell physiology. Dr. Bunn is past  
14 present of the American Society of Hematology and a  
15 fellow of the American Academy of Arts and Sciences.  
16 Thank you, Dr. Bunn.

17                   DR. BUNN: Thank you, Dr. Bracey. It's a  
18 pleasure to be here. What I wanted to do this morning  
19 is to present an overview of the nature of the  
20 so-called storage lesion and how it impacts on  
21 viability and function of transfused red blood cells.

1 As we noted, when the blood is taken from, fresh from  
2 the body, red cells appear as really uniform appearing  
3 biconcave discs.

4                   When they're stored in a standard medium,  
5 for transfusion purposes, the red cells undergo a  
6 multitude of changes and that then impacts metabolism  
7 of the red cell function, the hemoglobin, complex  
8 membrane structure function changes. These impact on  
9 the flow of blood through the microcirculation, the  
10 rheology of the transfused red cells and also impact on  
11 the viability, the survival of the transfused red cell  
12 in vivo and you end up with a cell that has lost some  
13 hemoglobin so it has higher hemoglobin concentration.  
14 It has less conformability and it has a shape change.  
15 I'll get into these in a little bit more detail as we  
16 go further.

17                   So, in order to first address the issue of  
18 metabolism, this is an outline of the primary metabolic  
19 pathway in the red cell, the Endon-Meyerhoff (phonetic)  
20 pathway, lipolytic pathway from glucose to lactate.  
21 Now, also included on the slide is the conversion of

1 pyruvate into the Krebs cycle, the TCA cycle. And that  
2 is -- oh, here we go, yeah, the TCA cycle, here, that's  
3 present in all cells having mitochondria; however with  
4 the red blood cells conditioned in the bone marrow, it  
5 loses both its nucleus and its organelles including  
6 mitochondria and therefore it loses its Krebs cycle.

7               So its metabolism then is anaerobic, not  
8 dependent on oxygen. And you see then that the energy  
9 accumulation through ATP is greatly limited by this  
10 process. Instead of making 36 mols of ATP per mole of  
11 glucose oxidized, one has only two ATP molecules per  
12 mole glucose. So the red cell is very limited in this  
13 regard.

14               Now, the red cell is special compared to  
15 any other cell in the body and having a very prominent  
16 shunt from 1-3 diphosphoglycerate to 2,3-DPG through  
17 the enzyme, DPG mutase. And that DPG then can cycle  
18 back into three phosphoglycerate through aphosphatase.  
19 Turns out that these two enzyme functions are actually  
20 on the same polypeptide but that's not important  
21 information for our discussion today.

1           So, 2,3-DPG is present only in micromolar  
2 concentrations in most cells of the body whereas in the  
3 red blood cell it's very high concentrations, 5  
4 millimolar. Indeed, hemoglobin tetramer and DPG  
5 functions to bind the hemoglobin tetramer to mediate a  
6 marked and physiologic reduction in oxygen affinity to  
7 the red blood cell. And this is very important in the  
8 events that accompany blood storage.

9           So, and basically the red blood cell has  
10 modest metabolic obligations and they include,  
11 important ones are shown here, the maintenance of  
12 cationic pumps, maintenance of 2,3-DPG, reduction of  
13 met-hemoglobin and maintenance of membrane integrity.

14           Now, the normal red blood cell contains  
15 five millimols DPG, as I mentioned, whereas there's a  
16 marked fall during blood storage in 2,3-DPG. This is  
17 shown from earlier studies that I did when I was in the  
18 Army years ago, with a marked fall in 2,3-DPG levels  
19 over time, and ACD even more marked fall, in ACD  
20 adenine. The decay in 2,3-DPG during storage can be  
21 delayed by the addition of inosine. The more recent

1 data from Bennett-Guerrero, et al., Dr. McMahon's  
2 group, is shown here, and below, and allowing for a  
3 difference in the time scale on the X axis, the data  
4 are really very similar for CP2D, very rapid decay in  
5 2,3-DPG.

6                   Now, that's accompanied by a very rapid  
7 decay in the P50 red cells during blood storage. P50  
8 is an index of oxygen affinity. Normal P50 is about 26  
9 millimeters of Mercury, and, during blood storage  
10 there's a rapid decay during the first week to a P50 of  
11 around 15. So this signifies an increase in oxygen  
12 affinity.

13                   So the two phenomenon, falling DPG and  
14 increasing oxygen affinity of course are tightly linked  
15 because DPG is the main allosteric modifier of  
16 hemoglobin function in the red cell. So, that here we  
17 have two oxygen binding curves, fresh blood with P50 of  
18 26. That's, the 50 percent saturation would be about  
19 26 millimeters of Mercury and then the marked shift to  
20 the left with increase in oxygen affinity with blood  
21 that's stored over a week or ten days.

1                   Now, the importance of this is at the  
2 degree to which oxygen can be unloaded from fresh blood  
3 versus stored blood. Fresh blood again is five  
4 millimolar DPG and with a marked decay with storage.  
5 So the unloading with fresh blood is shown here going  
6 from an arterial PO<sub>2</sub> to a mixed venous PO<sub>2</sub> of 40. And  
7 you can see that about 15 percent on the average, of  
8 the oxygen is unloaded to the tissues in contrast with  
9 the left-shifted oxygen binding curve, with stored  
10 blood, very much less oxygen is unloaded, maybe a third  
11 as much.

12                   Now, these, of course, are a highly  
13 hemotized diagram and the amount of oxygen unloaded in  
14 different tissues, different organs is highly variable  
15 but the overall picture is depicted reasonably well by  
16 this simple diagram. And you can see here the  
17 correlation between the P<sub>50</sub> and the DPG level during  
18 blood storage.

19                   Now, importantly, as red blood cells from  
20 the bank blood are infused back into patients, there is  
21 generally a rapid regeneration of 2,3-DPG over time.

1 You can see here this is recent data from Heaton, et  
2 al., 1989. It confirms previous studies showing that  
3 over time and specifically in about six hours that half  
4 of the DPG has been recouped in the stored blood, as  
5 shown in this lower diagram. These studies were done  
6 by an Ashby technique to recover the transfused red  
7 cells by antibody panning.

8               So, that the problem with increased oxygen  
9 affinity of stored blood is a transient phenomenon;  
10 however, there's an important caveat here and that is  
11 that these studies, all the studies I mentioned are  
12 done in reasonably healthy recipients. In very sick  
13 patients it's not at all clear that the time for  
14 recovering, recruitment of 2,3-DPG is as short as half  
15 time of six hours. So that's something that's worth  
16 pursuit and further investigation.

17               Now, ATP is an equally important player in  
18 determining the viability and function of stored red  
19 blood cells. The fall in ATP, as I will show you, is  
20 less dramatic. Normally there's about one millimolar  
21 ATP in fresh blood cells, varies with the age of the

1 red blood defeasor (phonetic) -- 21 day life span of  
2 the red cell but with storage there is a dropoff in ATP  
3 levels.

4                   And with the decay in ATP, there is  
5 consequences. There's leaking of potassium and as a  
6 result water from the red cells of the hemoglobin  
7 concentration, the red cell goes up somewhat. This  
8 alone makes the stored red cell more rigid, less  
9 deformable. In addition, there's loss of membrane  
10 through microvesicles.

11                   This is a very important research topic.  
12 It's not one that has gained a lot of support but it's  
13 one that has attracted the interest of a number of  
14 investigators and in concert with microvesicles from  
15 other cells including platelets is a topic that  
16 deserves a lot of scrutiny because micro red cell  
17 vesicles can be, can have pathophysiologic  
18 consequences. I don't have time to go into detail on  
19 this but it's something that bears concern with the  
20 knowledge that during red cell storage there is  
21 shedding of microvesicles. And then there is some

1 hemoglobin loss, as I will show you.

2                   Now, I think even more important than loss  
3 of these materials is the fact that the perturbations  
4 within the red cell membrane during storage. There is  
5 oxidation of proteins. There's an impairment of the  
6 assembly of spectrum of band 4.1. This can contribute  
7 to the rigidity of the red cell. There appears to be  
8 loss of sialic acid residues which decreases the  
9 negative charge on the red cell which allows the red  
10 cells to agglutinate or aggregate each other more than  
11 they normally would.

12                   There's loss of phospholipida. Asymmetry,  
13 which may have pathophysiological consequences and then  
14 morphologically one sees echinocytosis, which I tried  
15 to diagram as a scalloped border but what it really  
16 looks like is -- I'm sorry you can't really see it, it  
17 just doesn't show up well enough but anyway these are  
18 spiny, spiculated red cells. Not all red cells during  
19 storage develop this appearance. It's logical to think  
20 of the ones that do are the more damaged and have more  
21 perturbation than red cell membrane structure and

1 function.

2                   So, there are important pathophysiologic  
3 consequences of red cell storage which I'll talk about  
4 individually, decreased deformability, impaired blood  
5 flow, impaired oxygen delivery, hemolysis and  
6 disordered nitric oxide homeostasis. We're going to  
7 hear a lot more about NO later but I did want to touch  
8 on it in this introductory talk.

9                   First decreased deformability, these are  
10 data from D'Almeida, where a micropipette is used to  
11 suck a small portion of the red cell membrane through  
12 negative pressure into the narrow bore of the  
13 micropipette. The pressure, the negative pressure  
14 required for pulling a bleb of a membrane into the  
15 micropipette is a very accurate and reliable  
16 measurement of red cell membrane stiffness and overall  
17 red cell deformability.

18                   And you can see here that during blood  
19 storage there's kind of a shift to the left, if you  
20 will, of these nomogram, the bar graph here. So this  
21 is fresh blood and as during storage there is an

1 increasing shift to the left here, which indicates  
2 progressing, a progressive decrease in red cell  
3 deformability. And then these, of course, the cells  
4 vary considerably and heterogeneity is an important  
5 theme that I'm going to come back to during red cell  
6 storage, that it may be that a few bad actors, a  
7 minority of the red cells that undergo storage could  
8 have the most important deleterious effects when  
9 transfused into certain patients.

10                   Now, the key question is does this decrease  
11 in red cell deformability have in vivo consequences,  
12 does it impact on blood flow in vivo and oxygen  
13 delivery in vivo? And there are a number of studies  
14 that have been done to address this but I think the one  
15 that perhaps is to me is among the most convincing is a  
16 paper published by Tsai, et al., from the group,  
17 University of California, San Diego.

18                   And what they did was to take a hamster  
19 model on which they did an isovolemic exchange,  
20 transfusions, with the idea of first challenging the  
21 animal by removing the bulk of the red cells from the

1 animal and then once the animal has maintained the  
2 normal bloodline but with a marked reduction in red  
3 blood cells, asked the question as to whether it  
4 matters whether that animal has been transfused with  
5 fresh red blood cells or stored red blood cells.

6                   And so that the idea is that there's this  
7 progressive removal of red blood cells going from a  
8 hematocrit of 47 to 28 and then a level two, to 19 and  
9 the colloid that's replaced is Dextran 70 and then in  
10 the in level three, the endogenous red cells of the  
11 hamster are replaced either with fractionated or  
12 replaced with either fresh red blood cells or stored  
13 red blood cells. And you can see here that both  
14 arteriolar and venular blood flow is compromised  
15 somewhat when the replacement is with fresh red cells  
16 but, markedly so, marked decrease in blood flow when  
17 there's replacement of stored red blood cells.

18                   And, the impairment of oxygenation follows  
19 suit, that the middle bar shows tissue oxygen tension.  
20 These experiments are done in a capillary window that's  
21 engineered into the skin of the abdomen of the hamster.

1 And you can see here that, of course, there's a drop  
2 from arteriole to venule, venular oxygen tension but  
3 importantly at the tissue level the middle bar in the  
4 lower panel, there's a much lower oxygen tension when  
5 these animals have circulated stored red blood cells as  
6 compared to circulating red blood cells.

7           Now, in addition to impairment of blood  
8 flow and impairment of oxygen transport there's also a  
9 concern about hemolysis. The AABB requirements now for  
10 transfused blood is that within 24 hours 75 percent of  
11 the circulating red cells be viable and remain in  
12 circulation at that time period. That means that 25  
13 percent of the transfused red cells are destroyed, can  
14 be, up to 25 percent can be destroyed in blood units  
15 that are issued to patients for transfusion. This can  
16 be a huge amount particularly in patients who are  
17 receiving multiple units of blood. So, this is an  
18 issue of considerable concern and we need to delve into  
19 the consequences of this hemolytic load on the patient  
20 who is receiving this blood.

21           So just to put this into context, the study

1 from Dr. McMahon's group looked at the accumulation of  
2 hemoglobin in the plasma during storage and what you  
3 see here is a significant although rather modest rise  
4 in hemoglobin in the plasma during storage of blood.  
5 It goes to about 0.02 millimolar during, after a couple  
6 weeks of storage. Now, this in itself is, you know,  
7 reflects, as I mentioned before, a leak of a number of  
8 materials from the red blood cell during storage but  
9 even more concerning is the fact that once this blood  
10 is transfused, if you consider that up to 25 percent of  
11 the red cells can be destroyed within a day and the  
12 actual data show that with these bad red cells that are  
13 destroyed are actually destroyed even sooner than a  
14 day, is a rapid collapse and survival of the nonviable  
15 red cells during storage, that following infusion, I  
16 made this back-of-the-envelope calculation that the  
17 relative amount of hemoglobin released into the plasma  
18 goes from .02 to a 50-fold increase of one millimolar.  
19 So there's a vastly higher amount of hemoglobin that is  
20 the least following infusion.

21 Now, one can argue with this to some degree

1 because there's a lot of assumptions that are made in  
2 this calculation. It has to do with how short the  
3 survival is of the bad red cells that were destroyed.  
4 Extravascular hemolysis would be higher than  
5 intravascular but it's important to realize that even  
6 with extravascular hemolysis there's still hemoglobin  
7 leak into the plasma. The T-1 half of nonviable  
8 transfused red cells is roughly of the order of five  
9 hours. The T-1 half of hemoglobin from lysed red cells  
10 is roughly the order of one hour.

11               So putting all this together, I think one  
12 can, this figure may be off by a factor of five but I  
13 don't think it's off by more than a factor of five and  
14 can go in either direction. So that one can end up in  
15 any case with facing, particularly in patients  
16 receiving multiple units, that you can have a very  
17 large load of plasma hemoglobin in patients who get  
18 conventional blood transfusion.

19               Now, what are the consequences of this?  
20 One would, and, hemoglobin is a subject that was  
21 recently covered in an FDA meeting that I had the

1 pleasure of attending and the therapeutic use of HBOCs,  
2 hemoglobin-based oxygen carriers, so that there's a  
3 ying and yang of hemoglobin. There's a very strong  
4 reason to think that hemoglobin products can be  
5 developed in a salutary, positive way for therapy  
6 particularly in acute medical situations but the yang  
7 is that legal hemoglobin can be toxic.

8           And there are many reasons why hemoglobin  
9 can be toxic including release of oxygen free radicals,  
10 release of heme and other issues which were not germane  
11 to today's discussion but I would like to consider  
12 briefly disordered nitric oxide homeostasis with the  
13 idea of course that in Dr. Gladwin's and Dr. McMahon's  
14 talks there's going to be much more consideration of  
15 nitric oxide.

16           So first of all we have the issue of in  
17 vivo hemolysis. When you think about the large load,  
18 as I mentioned, of hemoglobins going to be unloaded  
19 into the plasma in rapid red cell destruction,  
20 transfused blood, this hemoglobin is much more, has a  
21 much higher potential for an NO scavenging than does



1 Isbell, et al., from the Alabama Group that I  
2 understand has just come out in Nature Medicine. And  
3 it shows in this diagram, there are three independent  
4 mechanisms that have been proposed to mediate a  
5 physiologically crucial phenomenon and that's  
6 hypoxically mediated vasodilation. This is an  
7 adaptation whereby hypoxic tissue can undergo  
8 appropriate vasodilation to enhance blood flow to  
9 address the need of that tissue for oxygen.

10           Now, I won't go into ATP at all although  
11 just to mention that ATP can be released in stored  
12 blood, may be important in that way and so that may  
13 play a role. Mark Gladwin's going to be talking about  
14 the importance of nitrite and on the right-hand side is  
15 the, a depiction of a very interesting and heuristic  
16 proposal from Jonathan Stamler's group that postulates  
17 a critical roll of the Beta 93 cysteine and the  
18 hemoglobin molecule for reversible binding of nitric  
19 oxide through as a nitrosal file.

20           And this is presented, this is proposed to  
21 be an allosteric process. It's well known that the

1 hemoglobin when it's in the oxygenated state has a --  
2 reactor, Beta 93 file can take up a number of reactants  
3 and adducts but that when hemoglobin changes to the  
4 D-Oxy structure that the Beta 93 is much less reactive.  
5 And so Stemler and his group proposed that this could  
6 allow for a very elegant process whereas red blood  
7 cells which have taken up SNO through, from nitric  
8 oxide released from endothelial cells in  
9 microcirculation, once they encounter hypoxic tissue  
10 that NO is released and can serve as a vasodilator.

11           Now, this has been an area of great  
12 interest and also considerable controversy. This paper  
13 in Nature Medicine actually presents data on a  
14 knocked-in mouse model where the mouse has circulating  
15 human and hemoglobin A and all the red cells, except  
16 that the Beta 93 has been replaced by, cysteine has  
17 been replaced by an allomine. In that study they do  
18 not find any disorder or disturbance in vasoregulation.  
19 However, I think it's very important to point out this  
20 is a valuable animal model -- perhaps the wrong  
21 challenges -- it's very important for this animal model

1 to be studied by many labs and the proper test done on  
2 the mice to really test the important issue of whether  
3 or not Beta 93 cysteine is physiologically important  
4 for NO regulation.

5                   Now in addition to, one question that  
6 arises is two recent papers in PNAS which both show  
7 both from Duke University, that show a decline in SNO  
8 hemoglobin over time. And you can see that the decline  
9 is extremely rapid. And in the case of Dr. McMahon's  
10 group it's three hours of decline and in the studies  
11 from the Stemler group there's a marked decline by day  
12 one and it falls even further.

13                   Now, the question is -- this may well be  
14 physiologically significant and we'll hear more about  
15 that from Dr. McMahon's talk but one question is does  
16 it address a critical, a widely read and quoted recent  
17 paper from the March issue of New England Journal of  
18 meds by Cochlar, et al., which showed that there was a  
19 deleterious effect in terms of complications,  
20 clinically significant complications including  
21 mortality when patients receive blood that's prolonged

1 storage of blood that would be greater than 14 days  
2 versus blood that was of short duration storage which  
3 would be less than that.

4 I think that their findings if their  
5 findings are corroborated cannot be explained by this  
6 NO phenomenon. This SNO decay, this SNO decay is  
7 occurring so rapidly that it would not distinguish  
8 between the short, shortish preservation time versus  
9 the longer preservation time, which was the crux of the  
10 New England Journal paper.

11 So, in conclusion I hope I made a case that  
12 the storage lesion is a misnomer. In fact, that it's  
13 extraordinarily complex, biochemically, structurally,  
14 functionally and it's clearly multifactorial. I have  
15 the prejudice that the impact of storage on hemoglobin  
16 oxygenation and NO homeostasis may be important but  
17 probably only important in critically ill patients  
18 where a timeframe of hours during transfusion therapy  
19 is critical for that patient's morbidity and mortality  
20 whereas in other settings and in fact in general, I  
21 think that more emphasis should be placed on studies

1 that further investigate the red cell membrane because  
2 perturbations of the red cell membrane are, certainly  
3 are likely to affect blood flow adversely in the  
4 microcirculation; therefore, impact on tissue  
5 oxygenation and these changes would affect not only the  
6 acute situations but even patients who are surviving  
7 beyond an acute period of time and in which in the case  
8 of the red blood cells recoup this DPG, has recouped  
9 its NO functions, the NO scavenging phenomenon has  
10 taken its course. But yet these membrane changes are  
11 probably not reversible.

12                   And therefore I would like to leave you  
13 with an earnest plea that those who are in charge of  
14 convincing or influencing the spending of research  
15 dollars for blood research should put a very high  
16 premium on studies that will investigate in detail the  
17 nature of the molecular mechanisms involved in  
18 deleterious effects of blood storage on the red cell  
19 membrane because I think that there's a good chance  
20 that practical measures can be adapted on the basis of  
21 better knowledge and more research that could address

1 this defect in blood storage and have a salutary effect  
2 on transfusion therapy. Thank you.

3 DR. BRACEY: Thank you, Dr. Bunn.

4 Questions or comments from the committee to Dr. Bunn?  
5 I did have one question that did come to mind, and that  
6 is in terms of the hemolytic load, you mentioned that  
7 the microparticles can have other pathophysiologic  
8 effects. One of the effects that I began to think  
9 about is the role of the RE system and is there an  
10 up-regulation a down-regulation, you know, what  
11 exactly, what are your thought in terms of that?

12 DR. BUNN: Exactly. I think that the  
13 microparticles have been shown in experimental systems  
14 to impact on the RE system. There's concern about  
15 their functioning in a prothrombotic way that could be  
16 particularly, again in, inflamed or critically ill  
17 patients could exacerbate microthrombi and impair blood  
18 flow through the microcirculation. And this is an area  
19 where, as I mentioned, there are a few investigators  
20 who have a major interests in responsibility but it's  
21 an underly-developed area of research.

1                   DR. BRACEY: Thank you. Question from the  
2 audience? Could you use the microphone please?

3                   DR. GLADWIN: Frank, that was a lovely  
4 presentation. I just want to make a comment about  
5 these microparticles. There's a lot of data coming out  
6 that's unpublished from Western and other groups now,  
7 there's a Danish group I saw them present their data  
8 showing very striking relationships between  
9 microparticles from the red cells, like a foreign --  
10 microparticles on activation of the hemostatic cascade.  
11 So at one point to TAT a variety of pathways and these  
12 microparticles express PS, phosphatidylserine, which  
13 activates the system.

14                   And the other comment about micro particles  
15 is they, the ability of the effective nitric oxide  
16 scavenging is proportional to the relative surface area  
17 of hemoglobin so as you decrease red cell size to the  
18 microparticle there will be dramatic increases in NO  
19 scavenging, so you also knock out the other pathway  
20 which could affect platelet activation. And I was just  
21 in Seattle, and there's a young investigator there

1 named Tim Watkins, who has analyzed the aired-Ness, the  
2 randomized trial transfusion of leukoreduced and  
3 nonleukoreduced red cells and in that trial  
4 leukoreduction, which we do across the United States,  
5 did not modulate the clinical outcome. It wasn't  
6 leukoreduction that was responsible. And what he sees  
7 is the more older the blood, the more lung injury, and  
8 that there was strong correlations with the number of  
9 measured microparticles. So I absolutely agree that  
10 this is a very important area for a number of reasons.

11 DR. BRACEY: Dr. Holmberg?

12 DR. HOLMBERG: Thank you for your  
13 presentation. You commented about the hemolysis  
14 between the storage and the transfused five-fold  
15 increase and also the membrane changes. Are you aware  
16 of any studies out there that have looked at this in  
17 regards to mechanical damage, for instance, like  
18 through pump action on the red cells?

19 DR. BUNN: That's a very interesting issue.  
20 In fact, in our practice at our hospital, the great  
21 bulk of our consults where the issue of transfused

1 blood safety arises, we happen to be a cardiology  
2 hospital and a lot of patients who have, in, post or  
3 during cardiac bypass surgery, and there is no question  
4 that mechanical trauma can, you know, exacerbate the  
5 storage lesion. It hasn't been properly investigated  
6 to my knowledge and it's another example of the  
7 interface between the patients who need a fair amount  
8 of blood and the fact that in the setting of a  
9 mechanical trauma that blood can have more adverse  
10 consequences than it normally would. So it is a very  
11 key question.

12 DR. BRACEY: I think we have one last  
13 question from Ms. Thomas.

14 MS. THOMAS: Excellent presentation, and as  
15 a patient advocate, I would really like to see that we  
16 recommend and implement what has been discussed today.  
17 I just want to thank you for that presentation.

18 DR. BRACEY: Okay. Well, thank you, Dr.  
19 Bunn, and then we will move on to our next speaker.  
20 The next speaker is Dr. Mark Gladwin. Dr. Gladwin is  
21 the chief of pulmonary vascular medicine at the NIH

1 NHLDI, and he will present on new insights into healthy  
2 red blood cells, the red blood cells regulate blood  
3 flow and inflammation.

4 DR. GLADWIN: Thank you, everyone. That  
5 was a wonderful presentation. I think everything that  
6 I will present will really emphasize and perhaps go  
7 into slight more detail, many of the pathways that Dr.  
8 Bunn presented so I think this will be easy to follow  
9 and it really amplifies these messages.

10 The major point I want to make today is  
11 show you three examples of how the red cell is not just  
12 a bag of hemoglobin, as I've stated here, that it's a  
13 living, breathing cell with impressive functionality  
14 and despite growing appreciation of this we really have  
15 left the research of this important cell backwards in  
16 time, you know, where 25 years ago this was an area of,  
17 investigation, and we really dropped all research in  
18 this important area.

19 And I'll make a point at the end that this  
20 is one of the most used human therapeutic agents,  
21 that's never been approved by the FDA, and it's one of

1 the most understudied and under-understood human  
2 therapeutic agents. So I think it's vital that we  
3 study this in the interest of great science but also  
4 public health. And I think if everybody can just relax  
5 and look at this presentation, and I just want to show  
6 you sort of a canvas of the stars and say, wow, you  
7 know, this red cell is really interesting and  
8 complicated and just make a point that there's a lot of  
9 work that has to be done.

10                   So the old view of the red cell is that  
11 it's a bag of hemoglobin and as you can see it's one of  
12 the most tightly packed collection of protein of  
13 virtually any cell. And you can see the 64 angstrom  
14 hemoglobin molecule here and how -- let's see if I can  
15 use my pointer -- yeah, how it's just packed within the  
16 red cell. 99 percent of the protein is hemoglobin,  
17 which is your oxygen-carrying molecule. One percent of  
18 the cell contains many, many enzyme systems, as Dr.  
19 Bunn highlighted as well as structural proteins that  
20 give the red cells characteristic, important shape.

21                   So what else does it do? Well, Frank

1 mentioned these very important nitric oxide retardant  
2 properties. The red cell is designed to block the  
3 entry of nitric oxide and I'll review that. It has  
4 very important antioxidant and energy-generating  
5 properties that limit its oxidative damage and limit  
6 its hemolysis. And I agree with Frank that this is  
7 probably an important lesion in stored blood. It's got  
8 very important blood buffering properties. It's very  
9 important when you transfuse eight units in critically  
10 ill patients during resuscitation, and probably most  
11 importantly -- and I think we agree with this -- it has  
12 a rich collection of enzymes and structural proteins  
13 organized beneath the membrane forming energy and  
14 vasodilatory metabolomes.

15                   Phil Lowe has elegantly shown that there's  
16 a glycolitic apparatus that assembles and deassembles  
17 underneath the membrane of oxygenation and  
18 deoxygenation. There's the data that Frank talked  
19 about with ATP generation and release. That's an  
20 enzymatic system. It's G protein coupled. There's a  
21 nitrite reduction pathway, which I will briefly review.

1 There's the ability to release an NO file, NO  
2 equivalent which will be reviewed by McMahon, and  
3 there's very provocative new data and I'm going to show  
4 this to you just to make you appreciate how rich this  
5 field probably is as we study it more that maybe the  
6 red cell action can make NO by NO synthase enzymes.

7           Finally, I want to point out it has other  
8 properties not related nitric oxide that may be of more  
9 importance than nitric oxide. It has interesting  
10 antiinflammatory properties. There is very nice work  
11 from a young investigator, Janet Lee. She's shown that  
12 the Duffy blood group potently scavenges cytokines, and  
13 with the aging of blood in storage there's oxidative  
14 damage in Duffy and it stops finding cytokines. Our  
15 red cells are cruising around our bodies sucking up  
16 inflammatory cytokines and we infuse old blood, that we  
17 may giving blood that can't scavenge these inflammatory  
18 cytokines.

19           I'm going to talk about nitric oxide,  
20 however. And this is not nitrous oxide which the  
21 anesthesiologist would you give you as an anaesthetic.

1 This is a little more boring. It's a diatomic gas  
2 molecule like oxygen or nitrogen but it has an unpaired  
3 electron so it's a free radical and it turns out to be  
4 a critical stabilizing molecule that maintains our blood  
5 vessel flow and vascular health.

6           We now know that the endothelium, the cells  
7 that line blood vessels make nitric oxide. They have  
8 these enzymes called nitric oxide synthases, right  
9 here. They convert the amino acid arginine to  
10 citrulline and make nitric oxide. This nitric oxide  
11 diffuses into smooth muscle where it activates guanylyl  
12 cyclase to make cyclic GMP, which is a downstream  
13 signaling molecule that opens up and relaxes the smooth  
14 muscle so it increases blood flow.

15           And this is why I say it's a vital molecule  
16 for maintaining our vascular health. Nitric oxide  
17 regulates our blood flow. It increases our basal blood  
18 flow by 25 percent. So, if you block your nitric oxide  
19 in your body your blood flow drops 25 percent, big  
20 effect on resting blood flow. It blocks clotting by  
21 inhibiting platelet aggregation and attachment. It

1 blocks the production of very important adhesion  
2 molecules which stick our cells within our blood  
3 vessels, such as VCAM, ICAM and E-selectin. It  
4 inhibits the release of a vasoconstrictor and growth  
5 factor, endothelin-1, and it inactivates superoxides,  
6 which is really a diffusion-limited oxidant. If you  
7 were to destroy nitric oxide, as happens in  
8 cardiovascular disease, sickle cell disease, other  
9 conditions, all of these pathways are then impaired, so  
10 having a big, creating a big problem for normal blood  
11 flow and perfusion of our vital organs.

12                   So one of the paradoxes in vascular biology  
13 is that nitric oxide is made by our endothelium, by  
14 these cells that line our blood vessels; yet, within  
15 our blood vessel there's a massive quantity of  
16 hemoglobin, the oxygen-carrying molecule. The reason  
17 this creates a paradox is that hemoglobin, as Frank  
18 mentioned, destroys nitric oxide. It reacts with the  
19 heme group here to bind or sequester the nitric oxide  
20 and it reacts with the oxygenated heme group to oxidize  
21 the nitric oxide to nitrate, which is an irreversible

1 oxidation. And these reactions are very fast when we  
2 look at the chemical reaction rates. So if you  
3 calculate how much hemoglobin we have inside our blood  
4 vessels and how little nitric oxide we make, you would  
5 calculate just based on chemical kinetic calculations  
6 that all of the nitric oxide would be destroyed, that  
7 it couldn't function; yet, we know that it does  
8 function.

9                   So how is this possible? And how this  
10 works becomes vitally important to potential storage  
11 lesions in red cells. And this slide outlines in  
12 cartoon form how this pathway works. And, here you  
13 have the normal red blood cell, the normal functioning  
14 red blood cell and around it in yellow we have these  
15 nitric oxide diffusional barriers.

16                   So, nitric oxide is made by the  
17 endothelium, by the nitric oxide synthase. The NO can  
18 get to the smooth muscle to do its, to exert its  
19 functionality because the hemoglobin is safely  
20 compartmentalized in this normal formed red cell. And  
21 there's an unstirred layer, shown in yellow here, and

1 there's a cell-free zone in laminar-flowing blood. And  
2 by the way, the glycocalix also helps create this  
3 distance between the red cells and the source of that  
4 production. And this reduces the reaction rate with  
5 the nitric oxide with the hemoglobin by a  
6 thousand-fold. So it prevents this scavenging or  
7 consumption reaction.

8                   So what happens when we infuse cell-free  
9 hemoglobins as therapeutics or we give aged blood that  
10 forms microparticles or hemolyzes, as this free  
11 hemoglobin now gets between the glycocalix, it gets  
12 between the endothelium and smooth muscle and it  
13 destroys the nitric oxide very quickly, a thousand  
14 times faster than what is in the red cell.

15                   The other area, which is a very rich area  
16 of scientific discussion -- and you will hear about the  
17 work from McMahon's group and Stamler's group later in  
18 the day -- is the idea that the red cell is not only  
19 destroying but it has functionality to generate NO.  
20 And I'll share with you some of that data as well.  
21 This can form as s file via the small anine salt



1 presented the data very elegantly that aged blood cells  
2 have a much shorter survival time after infusion. So  
3 even though you might not be infusing that much free  
4 hemoglobin in the plasma of your packed red cell, these  
5 red cells rapidly hemolyze both intravascularly and  
6 extravascularly. These cells are also energetically  
7 more prone to oxygen stress and stress-induced  
8 hemolysis in vivo, and again patients that are  
9 critically ill are going to have more oxygen stress,  
10 driving more of this. Aged red cells form extensive  
11 microparticles which we discussed. And NO is destroyed  
12 by microparticles and by hemoglobin by attacking the  
13 NO, as I showed you. This is now known to be one of  
14 the major side effects of the artificial blood  
15 substitutes. We haven't been able to get around red  
16 cell therapeutics because of the problem with these  
17 cell-free hemoglobin destroying nitric oxide and we  
18 found that this hemolysis is a major problem in human  
19 hemolytic diseases like sickle cell disease and like  
20 malaria, for example.

21 So, the concept is that normally your

1 endothelium is making nitric oxide and tonically  
2 regulating our blood flow but then when you get  
3 microparticles or hemolysis you'll disrupt this nitric  
4 oxide signaling by scavenging reactions.

5                   And this just shows the case in sickle cell  
6 disease and it illustrates how little hemoglobin you  
7 need to knock out the nitric oxide signaling. So in  
8 this case these are 27 patients with sickle cell anemia  
9 in orange, and normal African-American volunteers in  
10 green, and with plasma heme concentrations of only zero  
11 to 25 micromolar we have a linear scavenging of the  
12 nitric oxide. And we looked at these patients and we  
13 actually put catheters into their four-arm blood  
14 vessels and infused a nitric oxide donor medicine  
15 called sodium nitroprusside. Sodium nitroprusside  
16 releases nitric oxide and dilates, increases blood flow  
17 about 200 percent.

18                   In these patients we infuse the  
19 nitroprusside and we measure the plasma heme levels.  
20 And you'll notice that even with plasma heme levels of  
21 only 6 micromolar in heme -- this is about 5 milligrams

1 per deciliter plasma hemoglobin -- we saw an 80 percent  
2 drop in the responsiveness of their blood vessels to  
3 nitric oxide. And this was seen at high, intermediate  
4 and low doses of nitroprusside. These levels would  
5 easily be obtained after infusing more than ten units  
6 of aged red cells.

7               So, the concept here is that during blood  
8 flow we have these healthy red cells and they retard  
9 the scavenging of nitric oxide but if we infused aged  
10 or damaged red cells, or during hemolytic conditions,  
11 these cells release microparticles and hemoglobin that  
12 oxidizes the nitric oxide to nitrate and creates  
13 vasoconstriction. So, in an effort to look at how we  
14 can prevent hemolysis or target the endopathway, for  
15 example, with inhaled nitric oxide may allow a  
16 restoration of this nitric oxide kind of flow.

17               The second thing I would like to briefly  
18 talk to you about is the possibility that hemoglobin  
19 has enzymatic properties in nitrite reductase and  
20 understanding this pathway may help us understand the  
21 normal function, that normal function of red cells. We

1 found back in 2003 that nitrite at very low doses, in  
2 this case only 2.5 micromolar, vasodilated the human  
3 circulation. And, it did it by generating nitric oxide  
4 within the red cell. So, as we look at the dropping  
5 hemoglobin oxygen saturation as hemoglobin releases its  
6 oxygen it forms more nitric oxide. These are all  
7 studies in normal volunteers. And, we discovered a  
8 chemical pathway that was known for many decades, since  
9 1937, that nitrite reacts with deoxygenating hemoglobin  
10 and a proton to make nitric oxide. So this is an  
11 enzymatic nitrite reductase pathway, very similar to  
12 bacterial nitrite reductase pathways that generate NO  
13 under hypoxia.

14                   So, according to this hypothesis the  
15 deoxygenation within the blood vessel, nitrite in a  
16 deoxygenating normal functioning red cell can lead to  
17 hypoxic dilation. Obviously we want, under low oxygen,  
18 we want to dilate to bring in more red cells and more  
19 oxygen to that region. So, this pathway allows a  
20 linkage of hypoxia and the formation of the  
21 vasodilatory equivalent.

1                   Now, what I would like to talk to you about  
2 is the possibility, or share with you some data coming  
3 from another group, in this case, this is coming from  
4 Warren Zabel's group at the Massachusetts General  
5 Hospital, illustrating the importance of intact red  
6 cells and nitrite reductase pathways in regulating  
7 blood pressure. And what they did is they did studies  
8 in mice, and here they have a mouse that has a normal  
9 nitric oxide production and here they have a mouse with  
10 a genetically knocked out nitric oxide synthase enzyme.  
11 So, these mice can't make nitric oxide.

12                   Now, down here in yellow they're infusing  
13 whole blood, so these are freshly isolated red cells  
14 that are intact. And you'll see that these red cells  
15 don't vasoconstrict. Blood pressure does not rise.  
16 But if they infuse the same amount of hemoglobin  
17 without a red cell, in this case tetrameric hemoglobin,  
18 or a hemoglobin-based oxygen carrier, they get dramatic  
19 increases in blood pressure in vasoconstriction.

20                   And we would hypothesize that aged blood  
21 that hemolyzes, as Dr. Bunn suggested, would behave

1 more like this tetrameric hemoglobin or HBOC and that  
2 could link the old blood infusions to cardiovascular  
3 risk that we're seeing in epidemiologic studies,  
4 especially when you give massive transfusions to  
5 at-risk patients. And this shows that it is a nitric  
6 oxide problem because here they infused all three  
7 solutions into a mouse that doesn't make nitric oxide  
8 and while the mouse has higher blood pressure at rest  
9 because it doesn't have nitric oxide, there's no  
10 additional effect now of these infusions. This  
11 provides evidence that it is a nitric oxide scavenging  
12 mechanism that leads to this.

13                   Now, related to nitrite, another very  
14 provocative result is they could pretreat these animals  
15 with nitrite and then they could infuse in red this  
16 tetrameric free hemoglobin and now the free hemoglobin  
17 behaves like an intact red cell because this nitrite  
18 reductase activity maintains vasodilation. So both of  
19 these pathways could be quite important in terms of the  
20 normal function of our red cells and hypothetically the  
21 function of aged cells.

1                   The last part of the data I want to share  
2 with you is data that I personally did not believe, I  
3 still struggle to understand, and I just want to share  
4 it with you as sort of a "wow" result to show you how  
5 rich the science of these red cells are and to suggest  
6 how much work is needed to be done. I explained to you  
7 that the endothelium is where we make our nitric oxide.  
8 That is the absolute state the art, the absolute  
9 scientific dogma that our endothelium makes nitric  
10 oxide and that our red cells destroy nitric oxide.  
11 However, data has been presented from a German group  
12 that maybe the red cell makes its own nitric oxide,  
13 that it has its own nitric oxide synthase enzymes. And  
14 there have been three studies.

15                   Two of them were very limited in data but  
16 one of them was a little more complicated, published in  
17 Blood, showing that red cells have a functional  
18 endothelial nitric oxide synthase. This is a very good  
19 laboratory group in Germany but I'll tell you that  
20 nobody, that they show that these red cell nitric oxide  
21 synthase inhibited platelet activation so it inhibited

1 clotting, it made the red cells more deformable, so  
2 they flowed better through the microcirculation and  
3 that it made nitrite, which as we've shown you can  
4 regenerate NO. But I will also tell you that not a  
5 single scientist believes this paper could be possible.  
6 So, we wanted to look at this and I'll say I didn't  
7 believe this was possible.

8                   So we wanted to say, do the red cells have  
9 a functional endothelial nitric oxide synthase that's  
10 active in nitrite homeostasis in blood pressure  
11 regulation? And we did this in one of the most  
12 rigorous ways we can think of and that's using  
13 cross-transplantation in knock-outs. So what we do is  
14 we can get bone marrow from mice. We can lethally  
15 irradiate a recipient mouse so it doesn't make cells  
16 any more, it doesn't make bone marrow, and we can give  
17 it the bone marrow from another mouse so that we can  
18 give it bone marrow from a wild-type or an eNOS  
19 knock-out mouse and then we could measure things like  
20 nitrite and blood pressure.

21                   So to explain how this answers our

1 question, we can take the bone marrow from a donor  
2 that's wild-type, meaning it has the enzyme, nitric  
3 oxide synthase; it can make nitric oxide. But, we give  
4 it to a recipient, this is a control, that also can  
5 make nitric oxide synthase. So that in this mouse it  
6 has nitric oxide synthase in the circulating blood  
7 cells and the vessel wall and it's a positive control.  
8 It's a normal mouse except for being transplanted. But  
9 then we can take a mouse that can make nitric oxide in  
10 its cells but we transplant it into a mouse that  
11 doesn't have any nitric oxide synthase capability in  
12 its aorta or its blood vessels. This mouse has  
13 circulating cell eNOS but no eNOS in the vessel wall of  
14 the blood vessels. And we call this a plus-minus  
15 mouse. And this mouse tests whether the circulating  
16 blood cells make nitric oxide.

17               We can do it the other way. We can get a  
18 mouse that doesn't make nitric oxide in its cells but  
19 makes it in the vessel wall, and then we have another  
20 control which doesn't make it in either compartment.  
21 So if the German group's right, then the mouse that

1 makes nitric oxide only in the blood vessels will be  
2 able to control its blood pressure but the mouse where  
3 you knock it out in the blood vessels will be  
4 hypertensive.

5                   So, we did a lot of experiments using  
6 differential surface markers just to prove that we  
7 effectively had gotten the right mixtures when we did  
8 these transplantations. And we did Western blots of  
9 the aorta. This is the aorta looking at the eNOS and  
10 you can see the mouse that's control that has eNOS in  
11 both its cells and its aorta, does have eNOS in its  
12 aorta and the double, the animal, importantly, that any  
13 animal that has eNOS in its aorta has eNOS in the aorta  
14 by Western but any animal that does not have eNOS in  
15 the recipient has no eNOS.

16                   So the experiment worked. And then we  
17 measured a bunch of parameters in blood, and just to  
18 show you the data, this is the measurement of nitrite  
19 which we think again is a storage reservoir for NO, and  
20 this is just looking at the wild type and knock-out and  
21 it confirms that if you don't have eNOS or nitric oxide

1 synthase in any of your body, both your plasma and your  
2 red cell nitrite levels drop.

3           So then we looked at the four groups. So  
4 this is the nitrite in the plasma. Okay? And, if you  
5 have eNOS in our your blood cells and your endothelium,  
6 you have a normal plasma nitrite level and if you knock  
7 out eNOS in the blood cells and in the endothelium, you  
8 have low levels. So what happens in these mixed  
9 animals? Surprisingly if you just have eNOS in the  
10 cells but not in the blood vessels, you have a higher  
11 plasma nitrite level, and the opposite, if it's just in  
12 the blood vessels, and it looks like your plasma  
13 nitrite comes from both compartments.

14           Well, then we looked at the red cell, and  
15 it's only -- and this kind of makes sense if nitrite in  
16 the red cell is coming from a functional eNOS, the  
17 levels are lower in the animals that have no blood cell  
18 eNOS but have endothelia eNOS, showing that the red  
19 cell nitrite is coming from the blood compartment.

20           What about blood pressure? And this is the  
21 result that really shocked me. We saw more

1 hypertension, high blood pressure in the animals where  
2 we knocked it out from blood but they still had it in  
3 their blood vessels. We also saw, of course, higher  
4 blood pressure if you knocked it out in both but notice  
5 the blood seemed to be more important in blood pressure  
6 regulation. We were so surprised by this result that  
7 we got hold of the Harvard eNOS knock-outs. These are  
8 the Chapel Hill knock-outs. We got a whole other  
9 strain, repeated all our experiments in the Harvard  
10 knock-out. And, it's really incredible. Notice that  
11 you have a 40 millimeter mercury increase in blood  
12 pressure if you knock the eNOS out of the blood cells  
13 but not from the blood vessels. And you can see it's  
14 equivalent to what we see if you knock it out of both  
15 compartments.

16                   And very provocatively the most important  
17 correlate with blood pressure was our measurement of  
18 red cell nitrite, that is, the red cell nitrite  
19 dropped, blood pressure rose. And the fact that this  
20 blood cell eNOS was functional we confirmed by treating  
21 them for five days with LMNA, an inhibitor of nitric

1 oxide synthase, and both the plasma and the red cell  
2 nitrite levels drop when you give them a nitric oxide  
3 synthase inhibitor even if the eNOS is only in the  
4 blood cells. And we're now looking at which cell is  
5 responsible. So far we've knocked the platelets out  
6 and we still have a hypertensive effect and we're now  
7 knocking out white cells. It's possible it's not a red  
8 cell enzyme but all of our data so far is suggesting  
9 indeed it is indeed a red cell eNOS.

10           So now you could imagine that your  
11 endothelium generates NO from eNOS but also your red  
12 cells generate NO from eNOS. And in preliminary data  
13 we're now seeing that as these cells age they lose this  
14 eNOS functionality because this enzyme becomes oxidized  
15 and unfunctional. So you can imagine that you give old  
16 blood and this enzyme property, we know enzymes degrade  
17 over time, that there could be a storage lesion in the  
18 ability of these cells to actually make nitric oxide.

19           So, in conclusion, I just wanted to present  
20 a rich canvas of functionality of cells as they relate  
21 to nitric oxide and just say we absolutely need more

1 research in this area. Again these are complicated  
2 living, breathing cells, as you can see here, and to  
3 just emphasize again, it's one of the most-used human  
4 therapeutic agents. I'm a critical care physician and  
5 I think I prescribe more blood than any other single  
6 drug in my whole life; yet, it's the most  
7 under-understood and incompletely understood,  
8 understudied, incompletely understood.

9           I'm leaving NIH so I think I can say  
10 without risk of going to jail, that we have to fund  
11 this and I think one critical plea I'd make is that we  
12 can't just ask the NIH to fund this and shift dollars  
13 around, that the whole NIH infrastructure is under  
14 extreme duress. Clinical research is really starting  
15 to collapse, the intramural program. We need to shift  
16 additional new friends in NIH research.

17           As I travel through Europe I see a rising  
18 tide in the commitment of Europe to fund basic science.  
19 In the same way we lost the automobile industry, we're  
20 going to lose one of our crown jewels, biomedical  
21 research, if we don't get our act together and fund it.

1 This is bipartisan. Companies love the technology that  
2 we're developing. It's good for America. So I think  
3 we have to fund -- and this is a great area for  
4 research where right now all the lead scientists with  
5 the exception of this German group are in the U.S. So I  
6 think we should continue to fund this. It's very  
7 important. Thank you.

8 DR. BRACEY: Thank you Dr. Gladwin.  
9 Questions or comments from the committee? Dr. Triulzi.

10 DR. TRIULZI: Mark, great talk. I was  
11 surprised that the double knock-outs still have  
12 substantial nitrites so why would that be, you know.

13 DR. GLADWIN: What we've found and other  
14 groups have found is that about half of the nitrite  
15 comes from diet so it turns out that nitrate, which is  
16 very abundant in leafy green vegetables in the  
17 Mediterranean diet, the nitrate is converted by  
18 bacteria in the mouth to nitrite and taken up. So, if  
19 we take all nitrate out of the diet of rodents, we drop  
20 the nitrite level in blood in half. And, so it looks  
21 very clearly like from eNOS knockouts experiments, that

1 half of the blood nitrite comes from diet and half of  
2 blood nitrite comes from eNOS. And that's something  
3 that multiple lab groups have seen. So I think what  
4 we're dealing with here is the blood authentic  
5 formation rates, which, of course, become very  
6 important when you don't eat healthy foods like salads  
7 because then you don't get that dietary source.

8 DR. BRACEY: Question or comment from Dr.  
9 Klein?

10 MR. KLEIN: Mark, again, thank you very  
11 much. And I guess to paraphrase the former CEO of  
12 General Motors, perhaps what's good for NIH is good for  
13 America. But I was wondering from all these slides it  
14 looked as if you're doubling eNOS knockout mouse had a  
15 lower blood pressure than the one that had red cell  
16 eNOS and knocked out endothelium eNOS. Was that a  
17 statistically significant difference and, if so, why  
18 didn't you have higher blood pressure in your double  
19 knock-out?

20 DR. GLADWIN: Yeah, it wasn't statistically  
21 significant but we see that pattern over and over. And

1 what it is, is we get more hypertension if you knock  
2 out blood alone than if you knock out both. That's  
3 what you're talking about, right? You see the inverse  
4 with nitrite. And we see that less in the Harvard  
5 knockout. We see that more in the UNC knockout. But  
6 it's always the case that the blood knockout's a little  
7 more hypertensive.

8                   And what we think it is, just to be  
9 complicated, it's almost like a conditional eNOS  
10 knock-out, that in the background mouse that's eNOS  
11 knock-out for its whole development, it appears to be  
12 up-regulated Cox 2, to make prostacyclin compete,  
13 compensate, excuse me, for the loss and when you do the  
14 minus into the plus, you create almost a conditional  
15 knock-out. It's only knocked out for six weeks. And  
16 right now we're actually doing Cox 2 inhibitor  
17 experiments just to confirm that. But it's been well  
18 described both in sickle cell disease with a lot of  
19 hemolysis that there's a compensatory up-regulation of  
20 Cox 2 and the eNOS knock-out, there's a compensatory  
21 up-regulation of Cox 2. That's what we think it is.

1 DR. BRACEY: Question or comment from Dr.  
2 Benjamin?

3 DR. BENJAMIN: Again thank you for a  
4 wonderful talk. So, a very simplistic question,  
5 hypothesizing that free hemoglobin and all these  
6 microparticles may be critical versus intrinsic  
7 membrane defects, simplistic experiments clearly to  
8 look at washed red cells versus unwashed red cells, do  
9 you know if anyone has done that in the animal models  
10 yet? Because clearly it hasn't been done in humans  
11 yet.

12 DR. GLADWIN: I'm not aware if that  
13 comparison been done. Frank, do you know? But one  
14 thing I would point out is exactly what Frank pointed  
15 out and that is that I don't think the problem is in  
16 the stored product right before infusion. The blood  
17 bankers, including the members of this room, have done  
18 an incredible job. We actually did a study very  
19 similar to Dr. McMahon's, how much hemolysate was in  
20 these units and it's pretty well-controlled with modern  
21 preservation and storage and oftentimes these cells are

1 washed, which clears as well.

2                   But as soon as those cells go in the  
3 chromiolabel studies have shown as Dr. Bunn suggested  
4 25 percent will hemolyze in situ within three days,  
5 even as short as one day. And just to give you a  
6 comparison, that's equivalent to the hemolytic rate of  
7 a patient with sickle cell disease. So you're going to  
8 turn a critically ill patient into a patient with an in  
9 vivo, hemolytic anemia by infusing a large quantity of  
10 these old blood, these aged blood units.

11                   DR. BENJAMIN: Do we really know that, in  
12 that the 25 percent, lasting 24 hours, have we actually  
13 looked whether that's introversed or extroversed,  
14 whether there's actually a drop in the nitric oxide?

15                   DR. GLADWIN: No. What we know is that  
16 there is a strong correlation between microparticle  
17 numbers and activation of thrombosis and association  
18 with acute lung injury. We know that this turnover  
19 rate does happen with chromiolabel studies and then we  
20 can extrapolate from other diseases but we don't. We  
21 need to study this.

1 DR. BENJAMIN: So what I'm hearing is that  
2 we really are slightly overstating the case because,  
3 that lasted 25 percent, no one's really shown yet these  
4 correlations; these are extrapolations at this point in  
5 time?

6 DR. GLADWIN: Yes. Although I will say  
7 that they have shown that that rate of turnover occurs,  
8 just not what the biological effect of that turnover  
9 is.

10 DR. BRACEY: Comments from the floor?

11 MS. CARBO: I just had a question related  
12 to that. How do we know that the old red cells aren't  
13 sticking to the endothelium in the microvasculature  
14 rather than hemolyzing? Because I think that that is  
15 more likely since we don't really see tons of increase  
16 in hemoglobin or bilirubin or a decrease in heptaglobin  
17 but we do see multiorgan failure with microvascular --

18 DR. BRACEY: Could you introduce yourself  
19 for the record.

20 MS. CARBO: My name is Lisa Carbo. I'm  
21 from WRAIR.

1 DR. BRACEY: Thank you. Dr. Bunn?

2 DR. BUNN: I agree, that there have been  
3 studies on adhesion of packed red cells with the  
4 endothelium and that is a phenomenon. Also,  
5 potentially of considerable importance I think that  
6 with massive transfusions of blood it's rather common  
7 to see an increase in, in direct bilirubin and drop in  
8 haptoglobin. Like I say, it's obviously dose-dependent  
9 but certainly in a clinical setting that can be  
10 observed. So, I think both things are going on.

11 DR. GLADWIN: I would echo that, that you  
12 do have sufficient hemolysis to see clinical parameters  
13 change in these massively transfused patients. And it  
14 does seem like in clinical trials, again the patients  
15 at risk are these very severely injured patients, for  
16 example, patients that receive more than eight units  
17 and then see relationships with acute lung injury  
18 later. And I think as you expand from a 300 patient  
19 study to thousands of patients you will start to see,  
20 as this epidemiologic study indicated from a few weeks  
21 ago, that you will start to see a more subtle toxicity.

1                   The other point to make there is what's  
2 remarkable is how little hemoglobin it takes that's  
3 extracellular to knock out the endopathway. And just  
4 to give you a relationship there, the normal, if I  
5 convert things to the micromolar concentration but it's  
6 somewhat analogous to milligrams per deciliter, with a  
7 normal plasma hemoglobin it's less than two micromolar.

8                   It's a highly regulated, our bodies work  
9 very hard to capture and sequester that, and then in  
10 sickle cell disease, it will go up to 20 micromolar  
11 steady state and up to 40 micromolar with crisis. But  
12 on cardiopulmonary bypass for two hours, you'll go up  
13 to 150 micromolar and in malaria you'll see levels up  
14 to 200 and 400 micromolar.

15                   And remember that each unit of aged blood  
16 has 200 micromolar just in that plasma, with the packed  
17 red cells. So if you were to do a massive transfusion  
18 of eight units, you would be in the range of the  
19 intravascular hemolytic rate of malaria just by giving  
20 that plasma, again, not only something we'd only see in  
21 massive transfusion. So what we would argue is as you

1 increase your population size that you're studying,  
2 you're going to start to see cardiovascular toxicity of  
3 lower and lower levels of hemoglobin.

4 DR. BRACEY: Discussion is good. We have  
5 three more questions and then we'll stop for sure. Dr.  
6 Bianco?

7 DR. BIANCO: Celso Bianco. Now, the  
8 question is, with the classical studies of infusion of  
9 red cells with antibodies and all that, a substantial  
10 proportion of this 25 percent is taking up by  
11 macrophages, in the spleen and in the liver and we have  
12 at least the lower volumes, very little free hemoglobin  
13 that happens. They're just cleaned up. So is that,  
14 are we passing, exceeding that capacity?

15 DR. GLADWIN: Absolutely. So there's a  
16 very highly evolved hemoscavenging system. We maintain  
17 about 16 micromolar. Again, that's, think of the range  
18 of hemoglobin I described, 16 micromolar haptoglobin  
19 and haptoglobin is a hemoglobin scavenger protein. It  
20 binds the hemoglobin dimer with one of the highest  
21 protein-to-protein affinities known. And it binds the

1 hemoglobin dimer and then exposes this neoepitope  
2 called C-163, which is the hemoglobin scavenger protein  
3 and it takes it into the -- system for uptake. And by  
4 the way when it does take it up, it also activates the  
5 downstream cascade of signaling. Activates IL-10, heme  
6 oxygenase 1 -- reductase and all those enzymes are in  
7 catalytic antioxidants. They prevent vessel injury.

8                   So, what happens when you have higher than  
9 16 micromolar release is haptoglobin has to be  
10 resynthesized so you swap that system. The haptoglobin  
11 goes to very low levels and now you start developing  
12 free circulating hemoglobin. The other problem is  
13 these microvesicles outside of PS clearance by the  
14 spleen, the microvesicles, they're not scavenged via  
15 that system. So it's, what we would argue is that you  
16 have to saturate the systems. So, as Frank said -- I  
17 agree with him -- this probably isn't going to be a  
18 problem for a healthy person getting two units of blood  
19 at all. I don't think we should, you know, scare the  
20 general population about the risk of a very safe  
21 product but the problem is when you get critically ill

1 patients and you get a lot of blood, it's very clear  
2 that you develop very apparent toxicity.

3 DR. BRACEY: I think there was a comment  
4 from the floor or question. Could you introduce  
5 yourself?

6 DR. McMAHON: Tim McMahon. Mark, very nice  
7 presentation. As you know, one of the questions about  
8 nitrite and red blood cell hemoglobin is what is the  
9 final product and how does it get out of the red cell.  
10 You show data shown in showing the correlation between  
11 mean arterial pressure and nitrite levels but how  
12 exactly are these linked? I mean, it's been also shown  
13 before that nitrite is a marker for eNOS activity.  
14 That may be, you know, anywhere from a marker of the  
15 eNOS activity in the experiments to -- do you have any  
16 mechanistic data on the nitrite effect there,  
17 functional data with those red cells producing eNOS  
18 equivalents?

19 DR. GLADWIN: Not from those particular  
20 experiments. You know, one of the clear issues, if you  
21 looked at that data that I presented from Zabel's

1 group, if you give the same dose of a nitric oxide, an  
2 authentic nitric oxide donor, so you give 10 micromolar  
3 systemic levels, donor, and then you give two grams per  
4 deciliter hemoglobin, as you know you will see no  
5 dilating effect. So nitrite appears to behave uniquely  
6 in its ability to interact with the hemoglobin to  
7 promote a vasodilating signal. Mechanistically we  
8 published a paper this January in Nature and Chemical  
9 Biology, where we explore the ways that a signal could  
10 get out.

11 Our favorite theory is that we form a  
12 nitrite met-hemoglobin intermediate that develops a  
13 radical character and develops a nitrogen dioxide RD-2  
14 (phonetic) radical character. And we show that with  
15 density function theory calculations with EPR with  
16 rapid reductive neutro-insulation. We also have five  
17 lines of evidence to support that. And then when you  
18 form them with a nitrite, you get a radical, radical  
19 reaction that forms N2O3. We think our best guess is  
20 that N2O3 is our primary export species. That of  
21 course forms SNO as well. They're very fast with file

1 in vivo. We don't see evidence for small file export  
2 like in SNO but that would be a possibility.

3 DR. McMAHON: Thank you.

4 DR. GLADWIN: But I think it's obviously a  
5 very important, rich area to study more because all of  
6 these pathways can become potential lesions.

7 DR. BRACEY: Last question. Dr. Benjamin?  
8 That's been covered? Thank you very much. Our next  
9 speaker, continuing in the theme, is Dr. Jeff Carson.  
10 Dr. Carson is the Chief of the Division of General  
11 Internal Medicine at the Robert Hood Johnson Medical  
12 School. His topic today will be a review of the  
13 clinical significance of red cell age and contributing  
14 factors to outcome. Dr. Carson is well known to many  
15 of us in the field of transfusion medicine and has made  
16 many contributions. Thank you.

17 DR. CARSON: All right. Good morning.  
18 Thank you so much for having me present today. It's a  
19 pleasure to be here. My task is to look at this at a  
20 clinical level. And so what I plan to do in my twenty  
21 minutes is to present some background considerations,

1 what the basis of the hypothesis is, and I'm going to  
2 delete this stuff from my talk that describes some of  
3 the material that was previously discussed, and just  
4 talk about clinical stuff. I'll then show you what  
5 little we have on clinical trial data. Most of the  
6 evidence we have clinically is observational studies  
7 and we'll discuss those and then I'll give you my take  
8 on this evidence.

9           So a potential conflict is that I attended  
10 a meeting for the ABLE Investigators. This is a group  
11 that's designing a clinical trial, so, just so you  
12 know, done that, although I have no current active role  
13 although we're discussing getting involved with it.

14           So here are some background considerations.  
15 As this group certainly knows, that blood can be stored  
16 up to 42 days and that the time of storage is not based  
17 on clinical observations but on laboratory parameters  
18 and to say the least if we reduce the time of storage  
19 this might create some challenges. And my personal  
20 bias based on that simple fact is that you need  
21 clinical trials and even if the observational data was

1 consistent in demonstrating improved outcome with  
2 shorter storage times, I would not recommend changing  
3 any of our regulations, that we need definitive trial  
4 evidence and I would prefer to see two, not just one  
5 clinical trial because this would be so, so disruptive  
6 to our blood supply. So I think we need a very high  
7 level of evidence if we're going to change anything  
8 that we do here in this country.

9                   So the basis of the hypothesis at the  
10 laboratory level has been described but let me tell you  
11 about where it began at a clinical level, and it starts  
12 with the results of the TRICC trial. T R I C C. This  
13 is a trial that I'm sure this group know well. This is  
14 the only large randomized clinical trial that has ever  
15 been done looking at the efficacy of red cell  
16 transfusion. It was done in Canadian intensive care  
17 units in which they randomized euvolemic patients who  
18 had hemoglobins less than 9 to a restrictive  
19 transfusion strategy, which was a 7 gram threshold or a  
20 liberal transfusion strategy, which is a 10 gram  
21 threshold.

1                   Now, what was striking about these results  
2 was that if you look at the, there's about 800 patients  
3 in this trial that the overall mortality was 18.7  
4 percent in a group that got less blood, and higher, 23  
5 percent, in those who got more blood. Now, while these  
6 results are not significant and we usually just look at  
7 this as a negative study, one wonders why it's trending  
8 in that direction. And, in fact, if you look at two  
9 subgroups, those who are less than 55 years of age or  
10 those who are less ill, as defined by an APACHE score  
11 less than 20, there were statistically significant  
12 reduction in mortality in those who got less blood.  
13 There also were less MIs, less patients who went into  
14 just pulmonary edema and there was a trend toward less  
15 ARDS in those who got less blood.

16                   So this raises the question, are these  
17 extra red cells that the patient in the liberal group  
18 received, are they toxic, are they harmful? That's  
19 where one of the clinical hypotheses lie. Now, there's  
20 an awful a lot of observational data -- that's the only  
21 clinical trial that you can comment on because

1 everything else is too small but there's a lot of  
2 observational data out there as well and with few  
3 exceptions the observational studies also show that  
4 blood's bad for you. It increases the risk of  
5 infection and death.

6           But I urge you to be cautious, that these I  
7 think are biased and unreliable observations in cohort  
8 studies. Physicians decide on who to give blood to and  
9 the clinical characteristics of patients getting blood  
10 and those not receiving blood often differ. And  
11 typically physicians are going to look at a case and  
12 one patient looks okay and the other patient looks sick  
13 and it's that sick patient who gets the blood and so I  
14 think that sicker patients receive more blood  
15 transfusions and sicker patients of course develop more  
16 infections and die. So I think this association is  
17 almost surely a biased association and it cannot be  
18 adjusted in analyses.

19           Now, this is relevant and the reason I'm  
20 taking the time to emphasize it in this talk is because  
21 one needs to think about, as we look at the

1 observational data that looks at age of blood, are  
2 these same sort of potential biases in those analyses,  
3 so if age of blood is related to the frequency of  
4 transfusion, that is, if patients who get more blood  
5 have on average longer storage times, that maybe all  
6 that is a marker for who is sick and maybe it's the  
7 same bias in this analysis. I raise that as a  
8 consideration.

9                   Now, I'm going to skip this part of it,  
10 other than, these are, this is from a trial that we're  
11 doing but I just want you to look at the pretty red  
12 cells and how nice-looking they are and how these are  
13 kind of ugly. And this is all the stuff that our other  
14 speakers were discussing and I'm just going to skip all  
15 that.

16                   So to summarize the background  
17 considerations, there's some evidence that blood could  
18 be harmful but it's based on only one clinical trial.  
19 And true, the cause is unclear. We've talked in prior  
20 talks about morphology in 2,3-DPG. And I think that  
21 ultimately what we need to make good decisions here, I

1 is reproducible highest quality evidence since we'll  
2 have such a profound impact on our blood supply.

3           So, what clinical trial evidence, that's  
4 our highest evidence, so that's what we want, well, in  
5 fact there are no clinical trials examining clinical  
6 events. They have not been done. They're certainly  
7 under, they're in the planning stage and I think you're  
8 going to hear about those this afternoon. But there is  
9 one small study. I don't know that this is all that  
10 really but there was done by Dick Weiskopf, published  
11 in the Anesthesia Letter, includes only 9 subjects, and  
12 they're young folks, 23 years of age, and this was  
13 looking at the impact of fresh and whole blood on  
14 cognitive function.

15           Basically what they did was they did  
16 isovolemic hemodilution down to 75 grams. They got a  
17 baseline neurocognitive test. Then when those patients  
18 were anemic and you determined that they were not  
19 functioning normally, then they gave one group  
20 randomized back fresh blood three and a half hours old  
21 and another group older blood, which had an average

1 storage time of 23 days, and then they repeated the  
2 memory test to see how these patients did. So they  
3 used this test called a digit symbol substitution test.  
4 Here in BL is baseline in both groups here and shorter  
5 time means better function. And then they led these  
6 people down, down to 5 grams per deciliter and their  
7 function declined. And then they gave them back blood  
8 here up to a hemoglobin of 7.

9           And basically the differences between these  
10 groups are not significant, those who got fresh blood  
11 or older blood, there did not appear to be any  
12 significant differences. Small study using surrogate  
13 outcomes, the relevance certainly could be questioned  
14 but it certainly does not support the hypothesis that  
15 older blood is incapable of delivering oxygen.

16           Now, what about observational studies?  
17 This is really what I was asked to spend my time on and  
18 so I'm going to go through these relatively quickly,  
19 and just a few selective ones but keep in mind the  
20 following comments related to the design  
21 considerations. The first is what outcomes do we care

1 about? Well, I think we care about mortality,  
2 morbidity but not things like length of stay, which are  
3 included in some of these analyses so I'm not going it  
4 to review those studies. Keep in mind that the  
5 challenges in analyzing these kinds of studies is that  
6 patients receive more than one unit of blood and so the  
7 age of one unit may differ from the other. And how do  
8 you deal with that? Do you look at mean age? Do you  
9 look at youngest age? Do you look at oldest age?  
10 There's all different ways that have been in these  
11 studies and I don't know what the right way to do it  
12 is.

13                   Keep in mind that the likelihood that  
14 patients randomly receive blood stored for different  
15 lengths of time, that is, the basic premise of these  
16 kind of analysis is that when a patient is given a unit  
17 of blood, it's the most, it's the oldest available unit  
18 that matches for that particular case. So in principle  
19 it should be a random process and therefore you would  
20 expect that those who get younger blood and those who  
21 get older blood would look about the same, when you

1 look at the classic table one of any kind of study  
2 where you line up the two groups. If that's true, we  
3 should look for that and see if it's really there or  
4 it's not.

5                   Now, to come back to the bias question  
6 because I think it's really a critical issue here, if  
7 age of blood is related to the frequency of  
8 transfusion, then storage duration is just another  
9 indirect marker for who is the sicker patient, and the  
10 sicker patient is going to have poor outcomes. And you  
11 can't adjust this for in the analysis so I'm going to  
12 show you a number of studies in fact have this issue.

13                   All right. So, this is a table that comes  
14 from a nice systematic review that was published in  
15 Transfusion, in 2006. This is data up to June of 2006.  
16 Here are the first authors. You can see that most of  
17 these studies were done in either in cardiac surgery or  
18 trauma. They're all cohort studies so none of these  
19 are randomized trials. Some of these studies are  
20 reasonable sizes. Some of them are quite small, you  
21 can see here, and some of these studies looked at

1 things like length of stay and I've told you I'm not  
2 particularly interested in that, in trying to assess  
3 this question.

4                   So let me, now -- I'm going to step through  
5 quickly -- I got twenty minutes so I'm talking fast --  
6 some of these studies to give you a sense for what's  
7 out there. Okay? So the first paper, which is the  
8 oldest on the table that I just showed you, was from  
9 Vamvakas, published in Transfusion, in 1999. There are  
10 261 patients undergoing coronary bypass surgery,  
11 received at least one unit of blood. They looked for,  
12 their outcomes were pneumonia, wound infection,  
13 bacteremia, sepsis. They used CDC criteria. They  
14 evaluated the mean length of storage examined after  
15 adjusting for confounders.

16                   Curiously, they found that the use of CBDA,  
17 one, was not associated with any of their outcomes and  
18 so they just eliminated that that's from their paper  
19 and they only looked at the 192 patients receiving red  
20 cells preserved with adsol. Here's a table that comes  
21 from that paper in which they look at pneumonia or

1 wound infection as the outcome. The mean storage was  
2 15.2 days of those who had that disease versus 12.2  
3 days. For pneumonia only is 15 versus 12 days of  
4 storage. Wound infection was not significant; that was  
5 14.4 times 12.7. They do an adjusted analysis and the  
6 pneumonia and wounded P value was .02; pneumonia only  
7 was .04.

8                   So what are some of the limitations,  
9 conclusions? Examined multiple outcomes and multiple  
10 preservatives. You consider many comparisons that  
11 they've done. This would not be even statistically  
12 significant. And they actually did another study  
13 published the following year looking at length of stay  
14 that they found no association. So, I don't mind these  
15 results particularly convincing.

16                   Next paper, age of blood in cardiac  
17 surgery. This is 897 patients. This was published by  
18 Nobel in Anesthesia, 2004. You can't quite see that on  
19 the slide. There are a lot of patients here. Storage  
20 was defined as a mean of all units and the oldest unit.  
21 This was done with buffy coated depleted stored red

1 cells in saline, adenine, glucose, mannitol and citrate  
2 anticoagulant. It had multiple outcomes that they  
3 looked at including length of ICU stay, mechanical  
4 ventilation, MI and post-op infections including  
5 pneumonia, mediastinitis and sepsis. It turned out  
6 that pneumonia was the only finding that was positive  
7 that was associated with the age of blood, for each day  
8 of storage was associated with an increased risk of  
9 pneumonia by 6 percent. All the other outcomes were  
10 not associated with age of blood.

11           The next paper comes from Basran published  
12 in Anesthesia and Analgesia. This is also a  
13 reasonable-sized study of 300 patients and this is a  
14 higher risk group. These are reoperations of, who  
15 underwent coronary bypass or valve surgery, so this is  
16 a group that's going to typically require more blood  
17 and is not going to do as well. These patients, the  
18 blood was stored in AS-type preservatives as described  
19 in the paper. In-hospital mortality and up to eight  
20 years after surgery was evaluated and was adjusted for  
21 using Cox models, duration of storage evaluated by mean

1 duration of all units and the oldest unit transfused.  
2 Patients receiving more units of blood were more likely  
3 to receive units of longer duration.

4                   So this comes to that theme that I started  
5 out with, is they clearly demonstrate that there was a  
6 correlation between the number of units transfused and  
7 the maximum duration of storage as well as the mean  
8 duration of storage. So this confirmed this potential  
9 bias in this study. Here are the basic results. This  
10 is a multiple regression, these are regression models.  
11 The hazards ratio is significant. Now, this doesn't  
12 look like much but this is per day of storage. This is  
13 maximum red cells duration of storage, once again per  
14 day of storage. And if you were to classify this and  
15 create categories between 1 and 19 days, 20, 26 and so  
16 forth, you can see that the longer the storage, the  
17 higher the mortality that they see in this analysis.

18                   The next study I want to show you --  
19 there's just a couple more. This was performed by van  
20 de Watering, published in Transfusion 2006, about 2700  
21 patients, so this is a bigger study. They looked at

1 Buffy coated depleted stored in saline, adenine,  
2 glucose, mannitol solution. This is, once again it's  
3 coronary bypass surgery. They analyzed the effect of  
4 storage time by mean, youngest, oldest, and above and  
5 below the mean storage time. So they looked at many  
6 different ways of defining the duration of storage.  
7 This is table one. This is too complicated to read  
8 quickly but if you look over here, these are the groups  
9 less than 18 days, greater than 18 days and if you sort  
10 of scan the results, the groups look pretty similar.  
11 Everything, pretty, lines up nicely although they don't  
12 describe all that many clinical variables.

13                   They did show once again that the age of  
14 blood rises with the numbers of units transfused so  
15 this is storage time in days by numbers of red cell  
16 units transfused. And you can see here the average age  
17 of blood goes up the more units of blood you receive.

18                   These are the basic results from a table  
19 that I copied. If we just go down to this section down  
20 here where they looked at all the different ways they  
21 define storage time, none of these results are

1 statistically significant and so this is a negative  
2 study. And so I've shown you a few positive studies;  
3 this is a negative study. Even with that bias that I  
4 described, they did not find that association.

5                   And the last study to show you is one that  
6 you're going to hear from the primary author, that's  
7 already been referred to a number of times here, which  
8 was published in New England Journal by Dr. Koch out of  
9 the Cleveland Clinic. This was a study in which they  
10 looked at patients undergoing cardiac surgery who  
11 received blood. This is much bigger than any of the  
12 other analyses, 6,000 patients, and they compared the  
13 complication rates of those who exclusively received  
14 blood stored for less than 14 days or exclusively  
15 received blood stored for more than 14 days. This is a  
16 very nice part of the design that they included in this  
17 project.

18                   Blood bank, they stated the policy at the  
19 Cleveland Clinic was blood bank was released, oldest  
20 matched unit of blood. Storage time was defined as the  
21 longest time of any unit of blood received and their

1 primarily outcome was a composite outcome which  
2 included death and 16 events. That's a real composite  
3 outcome.

4                   Now, this is a table from table one and all  
5 I have done is circled some of the parameters that were  
6 different between those who received newer blood or  
7 those who received older blood, and there are some  
8 differences between these groups. Here's some more. I  
9 think had is probable more important. The cardiac  
10 function was somewhat different between some of these  
11 groups. Leukodepletion I think was different in these  
12 groups as well. So, that when you line up as to how  
13 random this process is, it looks like there's  
14 differences although this is a big study and therefore  
15 you're going to detect small differences between those  
16 two groups.

17                   Now, they display for us the number of red  
18 cell units transfused in relationship to the duration  
19 of storage. And these basically in yellow are the  
20 newer patients who got newer blood and blue are the  
21 people who got older blood, and these seem to pretty

1 much be right on top of each other. It would be nice  
2 if they matched on this but they didn't. And then I  
3 illustrate just to come out to the section which is  
4 probably where the action is. This is probably where  
5 more of the events are because these are the people  
6 that got a lot of blood, and that there are small  
7 differences. Are they meaningful differences? I don't  
8 know. You know, the average number of units was 3.1,  
9 in those who got newer blood, 3.4, in those who got  
10 older blood, in excess of about 2,000 units. Does that  
11 matter here? I'm not sure. I just raise, I raise the  
12 question.

13                   So, what were the basic results? If we go  
14 down to the bottom here, the primary outcome, composite  
15 outcome occurred in 22.4 percent in those who got new  
16 blood and 25.9 percent in those how who got older  
17 blood. This was highly statistically significant and  
18 if you just look at mortality, the differences are a  
19 highly significantly difference but the absolute  
20 difference in mortality is actually quite small, at 1.7  
21 versus 2.8, I think that is. So, we're looking at an

1 absolute difference in mortality that's quite small  
2 despite the small P value.

3           So what are some of the limitations,  
4 conclusions? It's a large and carefully performed  
5 analysis. There were some differences between the  
6 patients receiving newer and older bloods. How  
7 important this is I'm not sure. More, slightly more  
8 blood was used in the older group as well. I think  
9 without question this is the best evidence supporting  
10 the possible adverse effect of older blood.

11           So, the overall conclusions I draw is that  
12 most of the evidence -- and now this is sort of my  
13 bottom line here -- is most of the evidence supporting  
14 the hypothesis that storage time is associated with  
15 poor clinical outcomes is inconclusive or weak. The  
16 age of blood is related to frequency of red cell  
17 transfusion in a number of studies and there have been  
18 no clinical trials that have evaluated clinical  
19 outcomes for this particular question.

20           I have this sort of goofy slide here which,  
21 "Does your spouse beat the children?" But isn't this

1 really the question that we're worried about, that, you  
2 know, this is a question you really want to know the  
3 answer to but you don't want to ask and isn't that  
4 really the case here? Because, gee, if we really are  
5 left with a situation where we demonstrate that the  
6 storage of blood is too long, we create lots and lots  
7 of problems for our blood community, don't we?

8                   So, in summary there's inadequate evidence  
9 to support reducing storage time for red cells. I  
10 think, however, there's enough evidence to warrant  
11 performing clinical trials. I would urge that if we do  
12 consider changing our regulations here that we require  
13 at least two well done clinical trials demonstrating  
14 improved outcome with younger blood. Thank you very  
15 much and I'll be happy to entertain questions.

16                   DR. BRACEY: Thank you, Dr. Carson.  
17 Questions or comments from the Committee? Dr. Klein,  
18 do you have any?

19                   DR. KLEIN: Yes. Thank you, Jeff, that was  
20 a nice review and I wanted to do ask whether all of the  
21 retrospective studies you analyzed were

1 single-institution or were some of them  
2 multiple-institution, since those of us who issue blood  
3 know that an outlying hospital may have a lot older  
4 blood than a center-of-the-city hospital which turns it  
5 over rapidly and certainly the practices may differ  
6 between the different hospitals.

7 DR. CARSON: Harvey, I think they were all  
8 single-center studies. I think they're all  
9 single-center studies.

10 DR. BRACEY: Dr. Epstein?

11 DR. EPSTEIN: Jeff, first of all, thank you  
12 for taking on the difficult literature review in a very  
13 coherent way. My question harks back to a point that  
14 Jerry Holmberg made earlier about the vulnerability of  
15 stored blood to mechanical damage -- and it raises the  
16 question of whether these findings that are perhaps  
17 valid are setting-specific, in other words, the same  
18 age of blood may be a risk in some settings but not in  
19 other settings. And just what's your perspective on  
20 that?

21 DR. CARSON: Well, again, most of the

1 studies were done cardiac surgery, so, and that's  
2 obviously a setting in which people go in bypass and  
3 there could be the mechanical issues that you're  
4 alluding to. I think unless you directly compare it,  
5 you know, I don't know. I think that the proposed  
6 trials that are being discussed, one would be in ICUs  
7 and one would be in cardiac surgery and we have a  
8 chance to look at that.

9 DR. EPSTEIN: Yeah.

10 DR. CARSON: But, see, if that's true, that  
11 would argue against when I made the case that you need  
12 two trials because if it turns out you've got an ICU  
13 trial that doesn't show anything and the cardiac  
14 surgery does, maybe that would support the hypothesis  
15 that you just raised, is that it's this mechanical  
16 problem.

17 DR. EPSTEIN: Yeah, but it has tremendous  
18 implication for the scope of studies. You know, you  
19 may have to do studies in GI bleeders completely apart  
20 from, you know, cardiac surgery; in other words, if  
21 it's setting-specific and then we have to ask

1 setting-specific questions, it's many, many more  
2 studies.

3 DR. CARSON: Yeah. Nothing easy about this  
4 field.

5 DR. BRACEY: Question from Dr. Triulzi.

6 DR. TRIULZI: Jeff, really well done. You  
7 know, we're building a case here for equipoise, meaning  
8 that there is sufficient evidence on either side of the  
9 question to justify clinical trial versus making a  
10 change in practice immediately and I think you make a  
11 good case for that. What I did want to mention is one  
12 other study, which is a small randomized trial, which  
13 as you had mentioned, the ABLE study, and Paul Hebert,  
14 and I have the same disclosure that I was involved --  
15 Paul's not here, is he? And they published a pilot  
16 study of --

17 DR. CARSON: Yeah, I should have reviewed  
18 that.

19 DR. TRIULZI: -- 66 patients that followed  
20 the protocol that they planned to use for their  
21 2000-plus patient study. So it was really a

1 feasibility study. It wasn't meant to be an outcome  
2 study. But, quite interestingly, at 66 patients you  
3 can imagine there weren't enough to ensure that  
4 randomization created equal groups. So the groups  
5 weren't entirely equal but the composite endpoint of  
6 morbidity, mortality, was twice as high in the fresh  
7 group, the 33 patients who received fresh blood as it  
8 was in the group that got older blood, and that was a  
9 less than 7 versus 21 or more age. So, the event rate  
10 was 26 percent in the fresh group and 13 percent in the  
11 older blood group.

12 DR. CARSON: Yeah.

13 DR. TRIULZI: And I bring that out not to  
14 say that that ignores that definitive trial, wasn't  
15 meant to be, that it's not statistically significant  
16 but I think it adds to the status of equipoise around  
17 the question that would justify being able to randomize  
18 patients to older units and the need for the randomized  
19 control trials.

20 DR. BRACEY: Thank you.

21 DR. CARSON: You know, I didn't -- I should

1 have presented that. As you mentioned that, I said,  
2 "Yeah." Well, I just would, you made the proper  
3 cautions, which is you're looking at small numbers.  
4 This is not stable data. I wouldn't -- I definitely  
5 wouldn't look at this and say, oh, gosh, this fresh  
6 blood's bad stuff. I mean, I think that's really the  
7 wrong conclusion. And if you look at lots of clinical  
8 trials, you know, that if you look, for example, at the  
9 early thrombolytic trials that were done for acute MI,  
10 the first couple hundred patients actually showed a  
11 statistically significant increased mortality in  
12 patients who have thrombolytics and it was only after  
13 you had several thousand patients that the real results  
14 show. So, be very, very cautious in small datasets.

15 DR. BRACEY: Question from the floor?

16 MS. CARBO: Back to setting, I think it  
17 might make a difference if you look at patients who are  
18 massively transfused versus patients who receive one or  
19 two units, so maybe trauma is a good place to look at  
20 that.

21 DR. CARSON: I think it's clearly the

1 other -- I mean, I think there are sort of three  
2 obvious settings. One is coronary bypass, two is ICU  
3 patients and three is trauma. So, I completely agree  
4 with that.

5 DR. BRACEY: Dr. Bianco, you have the last  
6 question.

7 DR. BIANCO: Yes, Celso Bianco. The  
8 clinical trials are things that are difficult and I  
9 won't take years to mention. I think there are simpler  
10 experimental things that can be done that could give a  
11 more immediate answer, like Dr. Epstein mentioned, in  
12 terms of the damage done by a cardiac bypass machine,  
13 that is, to young or older red cells, like  
14 microparticles, hemolysis, and all that and I think  
15 that they should also be encouraged.

16 DR. CARSON: Sure.

17 DR. BRACEY: Okay. We will take a  
18 15-minute break, so, reconvene in 15 minutes, "quarter  
19 of."

20 (There was a break in the proceedings.)

21 DR. BRACEY: Okay. We are ready to

1 reconvene. Our next speaker is Dr. Colleen Koch, and  
2 she is from the Cleveland Clinic. Dr. Koch is the  
3 director of education, the vice chair for education and  
4 director of research in the cardiothoracic anesthesia  
5 department of the Cleveland Clinic. Dr. Koch will  
6 present on the age of red cells in cardiac surgery.

7 DR. KOCH: Thank you. It's actually "Cook"  
8 but that's okay.

9 DR. BRACEY: Okay. "Cook," all right.

10 DR. KOCH: Because -- I answer to  
11 everything.

12 DR. BRACEY: All right.

13 DR. KOCH: So, as mentioned, I'm going to  
14 talk on blood storage duration and patient outcome,  
15 cardiac surgery. Before I get started I want to talk  
16 about four studies that our group had in print that  
17 dealt with outcomes in transfusion, in particular in  
18 the cardiac surgical patient population. I have no  
19 disclosures or any financial conflicts of interest.

20 Now, without question the notion that red  
21 cell transfusion as we all know has been considered

1 beneficial both to replace lost blood volume and to  
2 increase oxygen-carrying capacity. This was really  
3 unchallenged for years and as advertised blood saves  
4 lives. Now, the concept of risk really changed with  
5 that and infectious transmission and the association  
6 between morbidity and red blood cell transfusion really  
7 became more of a focus of research.

8 I'm going to talk about risk and risk in  
9 relation to morbidity, so these are serious adverse  
10 events related to major morbid organ system failure and  
11 mortality and survival. Now, controversy was generated  
12 for a number of years, in recent years with  
13 publications that report an increase in risk with red  
14 cell transfusion and patients as compared to those who  
15 did not receive it.

16 And as noted by our prior speaker, these  
17 investigations were cohort investigations; however, I  
18 think they really shed light and provide a starting  
19 point for future trial investigation. These studies  
20 have shown an increase infection. In cardiac surgery  
21 this would be an increase in deep and superficial

1 sternal wound infections as well as pneumonia. There  
2 has been an increased risk of multisystem organ failure  
3 of death, and that's both in-hospital death and  
4 survival after discharge. There's an increase in lung  
5 injury that's been associated with transfusion, and  
6 this is primarily manifest at least in our cardiac  
7 surgical population as prolonged postoperative  
8 ventilatory support beyond 72 hours. And there has  
9 been association of renal injury, that is, renal  
10 failure that necessitates hemodialysis.

11           Now, the first of the four studies I want  
12 to talk about before we get to storage duration, this  
13 is an investigation we looked at, red cell transfusion,  
14 and patient outcomes in isolated CABG. This is a  
15 prospective cohort investigation. We have two very  
16 large registries that prospectively collect data and  
17 then follow patients through the hospital course. We  
18 looked at transfusion in 11,939 patients. Isolated  
19 CABG refers to coronary artery bypass grafting and  
20 isolated means that there was not an additional valve  
21 or aortic type procedure done. So, this is a

1 relatively homogeneous patient population.

2                   We looked at seven morbid outcomes. And  
3 You can see these listed on the Y axis here. We have  
4 overall mortality, renal, intubation, there's infection  
5 -- it's hard to read -- cardiac neurologic injury such  
6 as stroke and an overall composite outcome. On your X  
7 axis are your odds ratios. Number one is here. We  
8 know an odds ratio greater than one is associated with  
9 increase risk. The column to the right represents the  
10 odds ratios and the confidence limits associated with  
11 each morbid outcome. The closed square boxes represent  
12 adjusted and the open unadjusted odds ratio for each of  
13 the morbid outcomes. Our study reported that  
14 transfusion of red cells in comparison to those not  
15 receiving red cells was associated with an increased  
16 risk of virtually all the outcomes that we examined.

17                   This is a very well-studied patient  
18 population. The Society of Thoracic Surgeons have risk  
19 models developed for isolated CABG patients and  
20 included the risk factors that we know of to be  
21 associated with adverse outcome, and we toll for those

1 in our statistical modeling. From the same study, I  
2 want you to look at this frequency histogram for red  
3 blood cell units transfused. On your Y axis you have  
4 your frequency counts in thousands and on the X axis  
5 packed red blood cell units.

6 Now, the arrows pointed to one thing I want  
7 to highlight. Almost half of our patients receive red  
8 cell transfusion but most commonly they receive one to  
9 two units. And a one-to-two unit transfusion in my  
10 clinical practice is not an amount that's associated  
11 with massive blood loss.

12 The next thing we wanted to look at, this  
13 is a separate investigation. There was some recent  
14 evidence around the time of this investigation that the  
15 development of atrial fibrillation was associated with  
16 inflammation. And we know that red cell transfusion  
17 results in a direct increase in inflammatory markers  
18 and it also augments and modulates the inflammatory  
19 response to cardiac surgery, mediasternotomy and  
20 cardiopulmonary bypass. So, we wanted to test the  
21 hypothesis that infusion of red cells would increase

1 our risk of atrial fibrillation.

2                   This dataset included almost 6,000  
3 patients. We looked at patients on pump and we also  
4 looked at patients off pump. On the Y axis we have a  
5 probability of developing postoperative atrial  
6 fibrillation and on the X axis number of red cell units  
7 transfused.

8                   Now, in our patient population a lot of  
9 these patients are on statins to lower their lipids.  
10 Statins have pleiotropic effects and that has some  
11 antiinflammatory effects so we also want to make sure  
12 we also took -- note of that. Results of our study  
13 demonstrated that increasing units of red cells  
14 transfused increased probability of developing new  
15 onset, postoperative, atrial fibrillation.

16                   We looked at initially our postoperative  
17 morbidity and mortality and we wanted to look at  
18 whether or not there might be persistent effect of red  
19 cell transfusion on survival in our cardiosurgical  
20 patient population. In this investigation we looked at  
21 red cell transfusion and long-term survival. This is

1 over 10,000 patients in open heart surgery. On the Y  
2 axis we have survival and on the X axis years after  
3 cardiac surgery. Each of the colored lines represent  
4 different transfusion status.

5           The black line represents patients who did  
6 not receive a red cell transfusion; the green, one; the  
7 yellow, two units; the blue line, those patients who  
8 received between three and five; and six and greater is  
9 represented by our line that is in red. Patients who  
10 received red cell transfusion, it was associated with a  
11 dose-dependent, decrease in survival throughout the  
12 follow-up period. We used again the risk-adjusted  
13 models in cardiac surgery that is well-studied,  
14 everything that we collected and we know that is out  
15 there that's associated with survival. We adjusted for  
16 it in our statistical modelling.

17           And finally, the last thing we wanted to  
18 look at was quality of life. How do the patients feel  
19 about what's going on with their disease process with  
20 their surgical procedures? So what we did is we had a  
21 dataset of patients that had information on Duke's

1 activity status index or the DASI score. This is in  
2 7,321 patients. They were asked about the functional  
3 health-related quality of life before surgery and then  
4 three to six months of follow-up.

5           There are certain risk factors for poor  
6 quality of life after open heart surgery, namely, poor  
7 baseline quality of life influences follow-up quality  
8 of life. There are a number of other risk factors  
9 including postoperative morbid events that we included  
10 in our statistical modeling. What we're looking at  
11 here on your Y axis is your predicted probability of  
12 reaching the highest DASI score, at a 58.2. Your in  
13 tip-top functional shape, is what that's reflective of.  
14 On your X axis you have age in years and then we have  
15 transfusion status.

16           As you can see from here, increasing age  
17 decreased your probability of being in the highest  
18 quality of life category as did red cell transfusion,  
19 which lowered your quality of life in the follow-up  
20 period.

21           I will talk a little bit about storage

1 duration. The panel knows that red cell storage  
2 duration simply refers to the time a unit is donated  
3 until the time it's given to a patient. And there have  
4 been a number of the prior speakers who have discussed  
5 a number of both biochemical and structural changes  
6 that occur in the red cell unit, some reversible, some  
7 irreversible, but these functional and structural  
8 changes may actually decrease microvascular tissue flow  
9 and decrease oxygen delivered to the periphery. And  
10 some of these changes may contribute to some of the  
11 complications we've been observing. It's important to  
12 know this area is not well understood and necessitates  
13 more research.

14                   You saw one of these slides a little bit  
15 earlier. This represents an electron micrograph. The  
16 slide or panel to your left represents blood and  
17 population that's five days old. And then to your  
18 right is a 42-day old storage duration, red cell  
19 population. As you can see on the left of the day five  
20 blood there's a lot of smooth biconcave dysosites  
21 (phonetic) that predominate amongst the red cell

1 population. And you look to the right and there's  
2 progressive morphologic change, structural change in  
3 the red cells. You get spicule formation which can  
4 break off form little vesicles. You get iconocytes  
5 (phonetic) as well as irreversible stereoiconocytes  
6 (phonetic). So there are structural changes that do  
7 occur in the red cell product with increasing storage  
8 duration.

9                   In terms of aggregation, this was already  
10 mentioned but with routine storage there's an increase  
11 in red cell aggregation as well as an increase in  
12 adherence, particularly a strong adherence to the  
13 endothelium at the microvascular level. We have  
14 deformability. Deformability just refers to the  
15 ability of a red cell to be able to remain flexible in  
16 shape through the microvasculature, or pressure applied  
17 to it. The red blood cells you can imagine is about  
18 eight microns in diameter, about two microns in  
19 thickness. The microvasculature is about three to  
20 eight microns so as you can see here, the red blood  
21 cells need to be able to remain flexible to be able to

1 get through the microvascular for oxygen delivery. An  
2 increasing storage duration has been associated with a  
3 decrease in the deformability index and this may be due  
4 to the increase in microvascular tissue flow.

5                   And, finally, a number of people have  
6 already discussed -- and I'll go through this quickly  
7 as well -- biochemical changes that occur in the  
8 product with increasing storage duration. We have a  
9 decrease in a number of compounds, which was discussed  
10 earlier, to ATP and to 2,3-DPG, a decrease in you pH  
11 and your nitric oxide.

12                   Again, some of these changes are  
13 reversible; however they may impact the immediate  
14 delivery of oxygen to the periphery when you give that  
15 red cell transfusion. There's an increase in bioactive  
16 compounds. Some of these are probably inflammatory, some  
17 of them amino-modulatory. There's increases in free  
18 hemoglobin as well as soluble lipids with increase in  
19 storage duration. So the combination of these  
20 structural and biochemical changes offers some  
21 biological plausibility to some of the adverse events

1 that we may be seeing in our cardiac surgical patient  
2 population.

3                   So we wanted to investigate this effect,  
4 the effect of increasing storage duration on  
5 complications in our cardiac surgical population. Our  
6 patient population consisted of over 6,000 patients,  
7 who were adult cardiac surgical patients, and over  
8 19,584 red cell units were transfused among the groups.  
9 The red cells were delineated into groups by median  
10 storage duration of 15 days. Those who received newer  
11 blood, was less than 14 days. This constituted 2,872  
12 patients. Patients in the older blood group were those  
13 who had blood transfused greater than 14 days and  
14 constituted 3,130 patients.

15                   Our outcomes again included morbid outcomes  
16 reflective of serious adverse events to the organ  
17 system, similar to the outcomes that we looked at in  
18 our prior work and similar to the outcomes that the  
19 Society of Thoracic Surgery accumulates and documents  
20 for patients undergoing open heart surgery. We looked  
21 at in-hospital mortality and we also looked at survival

1 in the follow-up period. We used modern statistical  
2 techniques.

3                   Again, this was not a randomized control  
4 trial. We used multivariable logistic progression --  
5 as to the outcome. We used propensity methodology  
6 which is a standard when you are analyzing  
7 observational datasets, greater propensity score, and  
8 forced it into our multivariable progression model to  
9 control for additional confounding. We used a  
10 parametric, hazard decomposition model -- that's kind  
11 of a full sentence there of words -- but what that  
12 refers to is that survival after cardiac surgery is not  
13 proportional so you couldn't use one -- past (phonetic)  
14 model to look at survival after surgery.

15                   So you've got an early risk that usually  
16 goes out to about six months and then a later risk that  
17 follows out for as long as you do a follow-up period.  
18 There are risk factors in cardiac surgery that impact  
19 early survival and there are risk factors that affect  
20 late survival. And some of these are not similar so  
21 you need to model the data in a very particular manner

1 to be able to account for the time-bearing hazard for  
2 data as well as the time-bearing hazard for many of  
3 these risk factors associated with the hazard of death.

4           You've seen this figure before. The panel  
5 to the left represents the number of units transfused  
6 and percent within each group, the percent within each  
7 group is on your Y axis on your X axis are your red  
8 blood cell units per patient. From our prior work we  
9 knew that increasing red cell units was associated with  
10 increased morbidity so we wanted to make sure that we  
11 had an even division between the distribution of red  
12 cells. We wanted to make sure that the patients who  
13 got older blood just simply didn't get more blood. So  
14 as you can see here to the left there was no  
15 statistically significant difference between the old  
16 and the new blood in terms of red cell units per  
17 patient.

18           Now, to the right you have mean days of  
19 storage, on your Y axis, in red blood cell units per  
20 patient, on your X axis. The lower and upper margins  
21 of the box represent the 25th and your 75th percentile

1 and the heavy center line represents the mean days of  
2 storage.

3                   Now, this is an unadjusted figure here.  
4 This represents, we wanted to get an idea of the dose  
5 response relationship between the maximal days of  
6 storage and the probability of composite outcome.  
7 These were two separate models that join at the center  
8 there. What you have here is your probability of  
9 composite outcome on your Y axis and on your X axis  
10 maximum days of storage. There's an increasing linear  
11 trend toward the increased probability of composite  
12 adverse outcome with increasing storage duration. This  
13 is a composite table a little bit shortened up from one  
14 that was shown earlier.

15                   What we're looking at are complications.  
16 This is unadjusted results for storage duration and  
17 outcome in this patient population. The first column  
18 represents your complications, the next younger, blood,  
19 followed by older blood and the far right column  
20 represents statistical significance. This is our  
21 unadjusted comparison. There was an increased risk in

1 complications in patients who received older blood.  
2 There was an increased risk of in-hospital death,  
3 prolonged ventilation, renal failure, sepsis, and a  
4 composite outcome. Multisystem organ failure was also  
5 increased in this patient population for those who  
6 received older blood.

7                   Now, let's take a look at survival and our  
8 hazard curves. What you're looking at here is a  
9 survival curve. Survival is represented on your Y axis  
10 and your years follow-up is on your X axis. The blue  
11 line represents older blood; the yellow represents  
12 newer blood. The numbers above and below the lines  
13 represent patients at risk during that time interval.  
14 Next at the top right-hand corner of the figure  
15 represents the hazard function. We have the rate of  
16 death in percent, the Y axis, years follow-up on the X  
17 axis. Old blood is similarly represented by blue and  
18 yellow is represented for the newer blood. Patients  
19 who received older blood had reduced survival during  
20 the follow-up period and an increased risk of death.  
21 Superimposed on this figure, the open circles represent

1 the Kaplan-Myer survival and the solid lines represent  
2 our decomposition model results.

3           From the dataset we're able to form a model  
4 to look at varying age of red blood cell storage  
5 duration and outcome. This figure represents a  
6 predicted survival and maximum age with our hazard  
7 decomposition model that I mentioned. Survival is on  
8 the Y axis and years after surgery on the X axis,  
9 following these patients out to seven years. The  
10 different colors represent different days of storage  
11 duration. Day one is represented by orange. Red is  
12 represented by 15 days storage duration, blue, 30, and  
13 the black line represents storage duration of 42 days.  
14 So you can see from these results in this risk adjusted  
15 model there was a decrease in survival associated with  
16 increase in storage duration.

17           I just want to make four points of my  
18 gestalt from this research. Number one, we really,  
19 we're not asking from our results here to dump blood  
20 that's younger than 42 days old but what the results of  
21 our finding really tell us and the message that we want

1 to put out is that, you know, one, this is a cohort  
2 investigation, it's not a randomized control trial, and  
3 two, we really need research in this area. The studies  
4 are consistent. Many are consistent in terms of  
5 adverse outcome in transfusion but as well as some of  
6 the storage duration in our patient population.  
7 Although this was not a randomized control trial, our  
8 blood bank during this study period simply allocated  
9 the blood the night before. A technician in our blood  
10 bank would allocate between two and four units per  
11 patient in heart surgery. So when we would need  
12 additional blood, if we did, we knew if we used less  
13 than 40 units it didn't necessitate a call to the blood  
14 bank. So in a sense they were somewhat blinded to the  
15 patient as far as the patient morbidity and illness,  
16 how severely sick they were; nevertheless, it was not  
17 randomized.

18                   There were some differences that were noted  
19 in the table. I didn't put that up. Between our two  
20 groups, actually 60 percent of the patients in the  
21 younger blood group, if you believe leukoreduction

1 reduces risk, 60 percent of these patients did not  
2 have leukoreduced blood. So, it was biased against the  
3 newer blood. In terms of LD function, the patients  
4 receiving newer blood had higher -- heart functional  
5 class, meaning they were little bit sicker; however, if  
6 you look at abnormal versus normal, LD function, on the  
7 slide, those who received older blood had more abnormal  
8 LD function but they could have an ejection fraction of  
9 45 percent; you probably should have more clearly  
10 delineated LD function there.

11                   But nevertheless it really moves us to  
12 increase the research funding in this area looking at  
13 the impact of storage duration, not only the basic  
14 science level. We really don't understand the  
15 functional consequences of increasing storage duration  
16 but we also need increased research in the clinical  
17 arena. We have a trial on going at the Cleveland  
18 Clinic that took a considerable amount of time on age  
19 of red cell transfusion and now we're trying to get it  
20 to multicenter sites. We're trying to get additional  
21 funding so we can go to multicenter sites. If you can



1 transfusion. And I think that this reflects the lack  
2 of randomized control trials in particular in cardiac  
3 surgery. Typically cardiac surgery is the largest  
4 consumer of blood products in-hospital. And if surgeon  
5 doesn't know, okay, you can go to a hematocrit, be more  
6 conservative and go to a crit of 24 or 22 and it's safe  
7 in this patient population. Irregardless of the ICU  
8 studies that had been done, cardiac surgeons want to  
9 see, and anesthesiologists, is it safe to go that low.  
10 We really need randomized control trials looking at  
11 hematocrit thresholds in this patient population to  
12 really be able to get a handle on, among the  
13 variability in transfusion practices, decrease that  
14 variability but decrease usage.

15                   Distribution seems to be a little bit of a  
16 contentious issue. Actually I'm in business school  
17 right now and I just had a class in inventory  
18 management and thought a lot about inventory management  
19 with blood banks. And I'm not a blood banker, I'm a  
20 clinical and cardiovascular anesthesiologist but there  
21 peculiar things that really differentiate blood banking

1 inventory from regular business models, dynamic  
2 optimization, things like that, that are practiced in  
3 the business world, you know, with your donor  
4 constraints, with your shelf life that has a limited  
5 expiration, limited shelf life and then your donor,  
6 which is a little bit more predictable.

7                   Again, our blood bank, and a lot  
8 nationally, we use FIFO inventory management strategy,  
9 that is the first in and first out. So when I'm in the  
10 operating room and I need blood, they'll give me the  
11 oldest unit first and that's what gets transfused. I  
12 know. I don't know if there's an opportunity for  
13 research funding to explore really and mathematically  
14 model some of the different inventory management  
15 strategies. There have been a few studies recently but  
16 most were done, you know, 20, 30 years ago with the  
17 thought of cost minimization and minimization of  
18 wastage. But certainly as these new studies come out  
19 you wonder about modelling different inventory  
20 management strategies to blood banking and see what our  
21 results show.

1           The other comment on distribution, I'm part  
2 of the Cleveland Clinic, which is a consortium of a lot  
3 of other neighboring hospitals, and you wonder whether  
4 or not more regionalization of blood banking services  
5 wouldn't be a little bit more efficient. It's true  
6 that the blood bankers will tell me that in the  
7 community they don't reorder blood unless that 41, 42  
8 day old blood has been used and then they'll reorder.  
9 But I wonder if you wouldn't have more of a  
10 centralization of blood services at least within the  
11 consortium of hospitals to more effectively manage  
12 inventory and move inventory around, that might be a  
13 little more efficient.

14           And finally in terms of rejuvenation,  
15 there's a lot of neat research going on in adding  
16 solutions to the storage media to perhaps the research  
17 they mentioned on nitric oxide, things to add back or  
18 prevent the storage lesion from occurring, I think are  
19 very intriguing and we probably need more research in  
20 that area of storage media to make a better product.  
21 Thank you.

1 DR. BRACEY: Thank you, Dr. Koch. We will  
2 take questions or comments from the Committee. Dr.  
3 Ramsey?

4 DR. RAMSEY: Thank you very much for your  
5 presentation and, by the way, thank everybody for their  
6 great presentations today. I have two questions. One  
7 is just a kind of background question about the study.  
8 There are almost 3,000 patients in each group but I was  
9 wondering given your vast experience at the Cleveland  
10 Clinic, how many patients would have been in the middle  
11 group that got a mixture of both ages of blood that  
12 would have been excluded from the study during that  
13 time period?

14 DR. KOCH: Well, actually, there were close  
15 to 2,800 and I think 72 patients that received an  
16 admixture of blood and these patients were every  
17 different. They received more blood than the other two  
18 groups. And we really wanted to try to get a handle on  
19 what, you know, having looked at the --

20 DR. RAMSEY: So that about two-thirds of  
21 the surgeries were included in your study and about

1 one-third were not included, is that what you're  
2 saying?

3 DR. KOCH: Yes. Yes.

4 DR. RAMSEY: Thank you. Okay. And then  
5 the other question, would it, have you ever considered  
6 doing, we heard today about concerns about massive  
7 transfusion particularly in patients getting lots of  
8 units and I was wondering whether it might be feasible  
9 in yours or other studies or maybe it's been done in  
10 some of the other studies looking at subgroup analysis  
11 of patients who got lots of blood. I mean, from a  
12 day-to-day standpoint, a particular patient, I note in  
13 your study patients who got lots of blood, over nine  
14 units, tend to be, get a little more than -- than  
15 younger, younger group, I believe, is that --

16 DR. KOCH: Yeah, there wasn't a statistical  
17 difference in the distribution there.

18 DR. RAMSEY: Okay.

19 DR. KOCH: The problem you have is with  
20 statistical modelling, when you start looking at the  
21 subgroup analysis. For example, when we have a dataset

1 originally we thought how do you define age and try to  
2 eliminate as many confounders of admixture. So if you  
3 just took a patient who received one unit of blood,  
4 that would be very clean and as you can see from that  
5 probability curve the number of patients I think it was  
6 that group, that was much higher than the patients who  
7 received massive transfusion. And even that patient  
8 number wasn't enough to support statistical modelling  
9 adequately to be able to look at an outcome. So, down  
10 in that group that received a lot more, you know, you  
11 can do subgroup analysis but the statistics don't hold  
12 up.

13 DR. RAMSEY: Say on a day-to-day basis on a  
14 particular patient, one patient who uses lots and lots  
15 of blood in an individual hospital, of course, the  
16 tendency is, okay, this patient is using lots of blood  
17 but you have to call the supplier and get more blood in  
18 and that tends to, that might tend to be younger blood  
19 in terms of the reinforcements that are being brought  
20 in. So it would be very hard, for me very hard to look  
21 back but it's very interesting. Thank you.

1 DR. KOCH: Thank you.

2 DR. BRACEY: Dr. Benjamin?

3 DR. BENJAMIN: That was a great, great  
4 presentation. I appreciate you coming here today. A  
5 couple of questions. The first one as a blood banker,  
6 kind of confused by the fact the leukoreduction would  
7 be not equally distributed between the two groups,  
8 given that the study was done over the time period when  
9 universal leukoreduction was being introduced by many  
10 blood centers. Two explanations come to mind. One  
11 might be that the two groups were performed at  
12 different time periods or that there were different  
13 surgeons actually asking for leukoreduced or  
14 nonleukoreduced blood between the two groups. Do you  
15 have any explanation why there should be a mismatch  
16 with leukoreduction status?

17 DR. KOCH: Well, first our surgeons can't  
18 ask for leukoreduced. They'll get what they get from  
19 the blood bank. As big as our cardiac surgeons are,  
20 they can't unless it's a cardiac transplant patient,  
21 you would see leukoreduced products coming up to the OR

1 before 2002. They can't, they don't ask for that. The  
2 second question -- and I think I answered it in my  
3 reply letter to the Journal from you -- that the time  
4 -- thank you very much -- and I wanted to meet you. I  
5 read your letter. I got to meet this guy. He's giving  
6 me such a hard time. In a USA Today interview I think  
7 said -- well, Dr. Benjamin said -- and I went, oh, my  
8 goodness. I got to meet this guy.

9           Anyway, but on that time point the data  
10 surgeon is a variable, what we always include in the  
11 statistical modelling so it's part of the variable  
12 selection procedure so should practice change over  
13 time, that would be captured in a time variable. So  
14 that was considered. I don't know why, and again it  
15 wasn't a randomized trial so I can't tell you why there  
16 were these differences in leukoreduction.

17           DR. BENJAMIN: I do find it strange,  
18 because I was running a major blood bank in a major  
19 hospital during this timeframe myself, and our surgeons  
20 did have the option of asking for blood during this  
21 time period. But I'll take your word for that. The

1 second question I have for you, though, you make a lot  
2 of points about the statistical modelling you do and  
3 the risk adjustments you do but you don't show risk  
4 adjustment in any of your tables or figures. And I was  
5 wondering, having previous studies that show similar  
6 data -- but when you do adequate risk adjustment it  
7 disappears so I was wondering why you didn't show risk  
8 adjustment in your tables and in your mortality  
9 survival analysis.

10 DR. KOCH: Well, actually in the survival  
11 -- if you read, and actually I replied as well to that  
12 point -- in the survival curve if you read the figure  
13 legend, the parametric estimators are superimposed on  
14 top of the Kaplan-Myer for your survival. In the text  
15 the results from the multivariable logistic progression  
16 are written in. The table is just simply the  
17 univariant outcome table. So, the results are all in  
18 the paper, both from the multivariant model as well as  
19 the survival model including the appendix that includes  
20 the parametric model with the figure. Some of them are  
21 just more cleanly presented in that manner with the,

1 it's very clearly stated, unadjusted for the dose  
2 response with maximum days.

3 DR. BENJAMIN: I guess my concern is the  
4 discussion was based on the unadjusted figures and  
5 strong recommendations were being made for changes in  
6 transfusion practice based on unadjusted numbers. And  
7 many of us are still trying to work out whether this  
8 is, you know, we agree that you have identified a major  
9 issue that requires further research. We're not yet at  
10 the point of saying that we should change the way we  
11 practice medicine in this country based on the data  
12 presented in the study, if the discussions spend a lot  
13 of time suggesting things we might do.

14 DR. KOCH: That's always a good starting  
15 point but if you follow the statistical methods section  
16 you'll look at, it goes from univariant comparison to  
17 multivariable and then to the survival so it was pretty  
18 clearly delineated there. In terms of recommendations  
19 there are recommendations we can look at and we did  
20 recommend this is something we need to think about.  
21 You know, cohort, prospective cohort investigations are

1 really where, is your starting for randomized clinical  
2 trials. When you design a trial -- we've got a trial  
3 going on in the clinic on age of red cell -- how do you  
4 design composite outcome to know that you don't do a  
5 trial when you have three limitations in each arm.

6                   We need close to 3,000 patients to be able  
7 to detect a difference in our patient population, at  
8 Cleveland Clinic. So these studies are very important  
9 and again they form a starting point even if they, you  
10 know, raise a few hairs on the backs of some people's  
11 necks, it's something that really needs to be looked  
12 at. As far the other suggestions of exploring changes  
13 in inventory management, I think that's something that  
14 should be looked at. I think it's something that can  
15 be done mathematically modelled rather than no one is  
16 saying dump the blood that's old but they're saying,  
17 hey, let's take a look at this, this is important and  
18 why don't we take a look at managing inventory a little  
19 differently. And that involves no patient care. That  
20 just takes some people who have the wherewithal to do  
21 mathematic remodeling and perhaps put some cost

1 measures in of patient morbidity.

2                   So let's say see if some of the trials do  
3 find some more effective or some more adverse outcomes  
4 of -- blood, and when they start looking at inventory  
5 they need to pick that up and possibly change it  
6 because you're going to have to model the cost of a  
7 patient on a ventilator for three days in an ICU  
8 because they've just received older blood and none of  
9 these things were considerations in prior modeling.

10                  DR. BRACEY: In the interest of time we  
11 probably need to move on.

12                  DR. BENJAMIN: One last point. I strongly  
13 agree with you that we need more basic research to  
14 prove whether this effect is real or not.

15                  DR. BRACEY: Dr. Klein, the last question.

16                  DR. KLEIN: Thank you, again. That was a  
17 very nice presentation. But I wanted to get back to  
18 the first four studies you presented because again the  
19 issue of lots of blood and toxicity is one that is of  
20 particular concern. And I know you did multivariant  
21 analysis and I think I understand that but I'm still

1 not sure how one can draw a causative relationship when  
2 clearly sicker patients who receive more blood and  
3 probably just as importantly frequently sicker patients  
4 receive blood inappropriately, and if that just looks  
5 as if the more blood is related to mortality and  
6 morbidity, how do you, really control the bad without  
7 prospective randomization?

8 DR. KOCH: Well, number one, I don't want  
9 to mention causality, so, this is an association  
10 because it's a cohort investigation so it's a strong  
11 association. And again, yeah, sicker patients tend to  
12 do more poorly. We know risk factors that make  
13 patients sicker in cardiac surgery. Certainly there  
14 could be some unknown risk factor. One unit of blood  
15 increased risk in these patients for infection and for  
16 a lot of other adverse outcomes. I'm not talking about  
17 buckets of blood, you know, eight to ten units  
18 certainly increase the risk as well but a one-to-two  
19 unit transfusion increased risk. You got to remember  
20 there could be something going on.

21 Back in the old days of kidney transplants,

1 surgeons would give patients red blood cell transfusion  
2 because it would immunomodulate them. And the renal  
3 allografts would last a heck of a lot longer, in those  
4 who didn't receive the red blood cell transfusion.  
5 Now, we don't do that anymore but there's something  
6 about a blood transfusion that does have some  
7 persistent effects that does have immunomodulatory  
8 effects and we do have some basic science presented  
9 here today in the literature that gives some biological  
10 plausibility to the findings. It's pretty persistent  
11 across the cardiac surgical literature as far as  
12 findings of adverse outcomes. Again no one is making  
13 it causative but there is an association.

14 DR. BRACEY: Dr. Gladwin?

15 DR. GLADWIN: I just want to make one point  
16 to link the basics of the clinical research. There's a  
17 lot of the data about whether, for instance, you may,  
18 looking at this cohort of patients but I just want to  
19 point out there are clinical trials that have been  
20 performed where a drug was given blocked the inner  
21 pathway. So LMNA was given for sepsis and the trial

1 was stopped, the P value were harmed .003; it was one  
2 of the most lethal trials ever conducted in the human  
3 research experience. And then the dioxaphospholane  
4 hemoglobin Baxter trial was equally lethal and -- drug  
5 with nitric oxide.

6                   Even with the diffusion barriers that I  
7 mentioned -- if there's any tip towards more hemolysis  
8 in vitro we have a dramatic effect. So I do think we  
9 have a rich experience of translational basic science  
10 suggesting that these pathways when really pushed can  
11 be quite harmful. So I think there's a very strong  
12 basic science, basis behind this clinical observation  
13 so we just have to, I think we should keep connecting  
14 the dots there. That's my comment.

15                   DR. BRACEY: That's a nice segue to our  
16 next presenter.

17                   DR. KOCH: Thank you.

18                   DR. BRACEY: Dr. Timothy McMahon, Dr.  
19 McMahon is the medical director of the medical ICU at  
20 the Durham VA Medical Center. He is associate  
21 professor of medicine at Duke University. He will

1 present on evolution of adverse functional changes in  
2 stored red blood cells. He's done much work in the  
3 field of red cell physiology and we learned today that  
4 SNO not only affects traffic in D.C. but perhaps  
5 traffic within the blood stream.

6 DR. McMAHON: Thank you, Dr. Bracey, for  
7 the opportunity to speak today. The inspiration for  
8 the study that I'll describe today comes from questions  
9 that have been raised by good studies in the clinical  
10 literature, some of which we talked about today. And  
11 there are two questions, two bottom-line questions --  
12 let me back up and say that as a clinician I note even  
13 with these trials in day-to-day practice I find it  
14 difficult to know when to transfuse and when not to  
15 transfuse. I'm also a critical care physician. I  
16 certainly know, have a good sense from the TRICC trial,  
17 from Paul Hebert and colleagues that transfusing to 10  
18 is not a good idea relative to transfusing to a  
19 hemoglobin of 7 in comparable patients.

20 Aside from that there's not a lot for us to  
21 hang our hat on in decision-making for transfusing

1 critically ill patients. And among other questions are  
2 whether a marker can be developed that will help us  
3 decide in a given patient whether the potential harm  
4 from a transfusion for them will outweigh its benefit.  
5 But two questions that come up today that motivated and  
6 framed our study, are one, is a transfusion better than  
7 no transfusion for our patient in a given setting?  
8 Transfusion versus none or is more transfusion worse  
9 than none, and why? The study that I will present is a  
10 basic science study looking at red blood cell mediators  
11 that may go back to storage and the functional  
12 consequences of those changes.

13                   And the second question is, are fresher red  
14 cells better than older red cells? And that's been  
15 very well framed by Colleen in terms of the clinical  
16 data there, her study I think being the most  
17 informative and compelling in that arena. And of  
18 course these two questions may be interrelated. It may  
19 be that there's a continuum and many of the same  
20 lesions that we see initially when blood is stored  
21 worsen further over time.

1                   And, the function of the red blood cell  
2   that I will focus on today is one that Mark described  
3   and gave a good background for, and that is a  
4   relatively recently appreciated function for the red  
5   cell in oxygen delivery and that is its ability to  
6   regulate blood flow. And I think when we transfuse or  
7   not we should be thinking about dysfunction of the red  
8   blood cell, which is key for its classic function of  
9   oxygen delivery.

10                   We know a few things about this. We know  
11   that this turns out to be a red blood cell function.  
12   Hypoxic vasodilation is a response where there is  
13   vasodilation in tissues with low PO<sub>2</sub>, getting more  
14   blood flow as a result. This is a red blood cell  
15   dependent activity and this is nicely demonstrated here  
16   in work from Saltine and co-workers, where the blood  
17   flow in a leg of a normal human subject was inversely  
18   proportional to hemoglobin oxygen saturation. So this  
19   is a function not only of oxygen per se but of  
20   hemoglobin oxygen saturation so it appears to be  
21   governed by hemoglobin. In contrast, in the second

1 panel below, when you look at this as a relationship  
2 between PO<sub>2</sub> and vascular conductants there's really no  
3 relationship.

4                   This is the way that saturation was  
5 manipulated here, was to use CO, use carbon monoxide to  
6 keep hemoglobin in R-state but with lower oxygen  
7 binding. So when it's in saturated a R-state, that  
8 inhibits blood flow. When it desaturates, that  
9 promotes blood flow. And ongoing work and with it all,  
10 review, addresses some of the molecular mechanisms  
11 behind that.

12                   In similar experiments we know that that  
13 phenomenon is NOS independent. In exercising subjects  
14 increases the blood flow with hypoxia or blocked by a  
15 NOS inhibitor -- I'm sorry, are not blocked by a NOS  
16 inhibitor. It's NOS independent.

17                   And so summarizing these data blood flow is  
18 dynamically regulated by changes in tissue oxygen  
19 concentrations but the transducer appears to be  
20 hemoglobin saturation rather than PO<sub>2</sub>. The sensor is  
21 blood-borne and in the red blood cell hemoglobin is a

1 good candidate. It's also a NOS independent  
2 phenomenon. And we and others can model this in  
3 isolated blood vessel rings.

4                   So shown here are experiments where we  
5 precontracted isolated blood vessel rings from a  
6 rabbit and then exposed them to red blood cells after  
7 the equilibrating them out to varying PO<sub>2</sub>s. What you see  
8 is that there's a graded change in the vasomotor  
9 response to red blood cells as a function of the  
10 starting oxygen tension. When you're at a PO<sub>2</sub> of 63  
11 that you might see in a peripheral artery, you get  
12 largely constriction when the red cells are added. But  
13 the lower the PO<sub>2</sub> goes, the less constriction. In  
14 fact, you can convert to a vasorelaxant response of  
15 PO<sub>2</sub>s of 3 or 7, seen in respiring tissues. And this is  
16 in contrast to responses to another NO donor, in this  
17 case -- where responses are largely PO<sub>2</sub> independent.  
18 There is hypoxic potentiation of vasodilators and  
19 especially nitro-vasodilators but that alone does not  
20 account for this phenomenon. And one way that  
21 hemoglobin can carry out this dual oxygen sensing and

1 vasodilator dispensing function is through --  
2 hemoglobin. It's well established that -- binds to the  
3 hemes of hemoglobin. That's the basis for some of the  
4 NO scavenging effect of free hemoglobin that's been  
5 talked about.

6           In addition, NO combined at reactive  
7 sulfur groups, the S representing sulfur in hemoglobin.  
8 These are highly conserved residues and the binding at  
9 this reactive sulfur group is reversible. When SNO  
10 hemoglobin so formed it gets back to the T-state, it  
11 will release the NO from those reactive sulfur groups.  
12 When hemoglobin is alone, typically the released NO  
13 equivalent will go back to the hemes in hemoglobin but  
14 if there are other NO or SNO receptors it may go to  
15 other molecules.

16           And so moving on to this in the context of  
17 the red blood cell itself, you have hemoglobin  
18 frequently alternating between the deoxygenated  
19 T-structure and the oxygenated R-structure. Along with  
20 this transition there's a change in the ability to  
21 sustain SNO bound to hemoglobin, a change in its

1 stability. We believe that the primary source for NO  
2 bindings of hemoglobin to form this SNO hemoglobin is  
3 NO from NOS. It may be endothelial NOS or it may be NOS  
4 within the red blood cell. It's also possible for  
5 hemoglobin to take nitrite and convert it into SNO in a  
6 SNO synthase function of hemoglobin.

7           And of course in addition to hypoxia the  
8 other major physiologically relevant trigger for  
9 transition from the R to the T-state is increasing acid  
10 level or decreasing pH, where again the red cell wants  
11 to increase its flow to meet metabolic demands.

12           So, filling out the scheme here, and some  
13 of these are known knowns in the system and some are  
14 more speculative. As hemoglobin releases its SNO, it  
15 traverses red cell membrane and the membrane protein --  
16 exchange of 1 is a key relay point for SNO. The  
17 identity of SNO outside the red cell is unknown but as  
18 -- is a candidate molecule, this is formed by --  
19 isolation of glutathione. And then there are questions  
20 about how this SNO would get into cells of any kind,  
21 endothelial cells or vascular -- muscle cells, for

1 example. An enzyme called GGT or -- that has an  
2 established role including -- stasis, is capable of  
3 cleaving this GSNO to a smaller molecule that can get  
4 into cells and that's CYSGLY NO. In some cases this  
5 needs to be converted further and then an L type  
6 immunotransport may carry in, for example, NO cysteine,  
7 a single immunoassay, NO bound. We're still learning  
8 about the cell-specific requirements for those various  
9 processing and transport enzymes. On the other hand,  
10 the GSNO in the extracellular space or within cells is  
11 degraded and tightly regulated by an enzyme called  
12 GSNOR -- that's GSNO reductase -- creating the inactive  
13 products GSSG and ammonia.

14                   So here are results from experiments where  
15 we said, well, if GGT is important in transducing the  
16 SNO-related red blood cell vasorelaxant response, we  
17 should be able to inhibit with an inhibitor of that  
18 enzyme, GGT. So GGT inhibits this conversion of GSSNO  
19 to CYSGLY NO. And in fact you're able to nearly  
20 abolish the response in the presence of acitisine,  
21 suggesting that these responses go through GGT

1 signaling.

2                   We're also interested in another red blood  
3 cell derived vasodilator, and that's ATP. ATP is  
4 released from red cells in response to a variety of  
5 stimuli, including hypoxia and deformation. That  
6 release has been shown to be abnormal in some disease  
7 states including pulmonary hypertension. It may play a  
8 role in the perinatal transition or transition,  
9 rather, of the pulmonary circulation, the transition  
10 from fetal oxygenation to lung air breathing in the  
11 neonate.

12                   We don't know much about the mechanisms of  
13 ATP release and we don't know much about the relative  
14 roles of ATP with SNO from the red blood cell.  
15 Studying this in detail, these are preliminary data  
16 where we have worked with Eduardo Lazarowski to develop  
17 a technique, to measure -- under the conditions of our  
18 assistance, ATP and its metabolizer precursor so here  
19 we get good recovery of spiked ATP, concentrations and  
20 we also see release of ATP from red cells in hypoxia,  
21 but in addition we see ADP and adenosine monophosphate

1 that accumulate outside these cells -- hypoxic. So I  
2 think experiments like this will require analysis  
3 together of these interchangeable mediators to put them  
4 into context as well as the use of receptor antagonism  
5 and knock-out applies to learned functional data from  
6 these.

7                   So what happens to this vasoregulatory  
8 activity of red cells when they're stored? Until  
9 recently we've known very little. We do know that ATP  
10 is depressed. As was pointed out earlier it's a  
11 relatively slow decline, slower than the decline in  
12 2,3-DPG, for example. We didn't know much about SNO  
13 lost during storage until recently and we consider the  
14 loss of both of these to be relevant to the blood flow  
15 control by the RBC that's relevant in terms of  
16 transfusion medicine. So we hypothesized that storage  
17 would lead to depletion of ATP and SNO hemoglobin and  
18 that in turn the ability of red cells to regulate blood  
19 flow would be compromised.

20                   We enrolled 15 healthy volunteers. They  
21 gave consent. We used standard AABB and American Red

1 Cross techniques. The red cell units were  
2 leukofiltered and stored in CP2D and AS3 solutions. We  
3 did a blinded analysis of multiple functions and  
4 multiple molecules. Unless otherwise stated the  
5 results that you will see relevant, the results you  
6 will see in red cells themselves are in washed red  
7 blood cells. So to answer some of the questions,  
8 someone had a question about washed versus unwashed red  
9 cells and it affects a wear of the biology of free  
10 hemoglobin. We took that out of the picture in these  
11 studies. We assessed red cell vasoactivity using  
12 isolated vessel ring assays. We measured SNO  
13 hemoglobin by photolysis chemiluminescence. Since this  
14 area is controversial, we also used a second method.  
15 This is a chemical reduction method -- use of copper  
16 and cysteine and carbon monoxide. And this study --  
17 disclosure here -- this study was funded by a company  
18 called Nitrox.

19                   And, we paid close attention to several key  
20 allosteric effectors, allosteric effectors of  
21 hemoglobin function, that is. And these have been it

1 all studied before but we wanted to use them to  
2 benchmark a study, and to closely correlate changes  
3 across different parameters as well described pH falls  
4 a lot earlier with exposure to this lesion and  
5 continues to fall over time.

6                   PO2 starts out with a low venous level and  
7 it comes up slowly, getting in through the gas  
8 permeable PBC bag, and finally getting to the 200  
9 level. Of course, this is of course -- not -- cold  
10 storage, the conventional 40 degrees storage.  
11 Hemoglobin oxygen saturation rises reflecting a complex  
12 effect from the change in PO2, change in pH, the loss  
13 of DPG and others. There's also CO2 loss across the  
14 bag. And these, some of these changes are potentially  
15 relevant not only to hemoglobin stability and function  
16 itself but also to the chemistry of -- stored red blood  
17 cell unit. We looked at -- and related -- and  
18 apparently we looked at its functional correlate, red  
19 blood cell bioactivity.

20                   And in this part of the study we wanted to  
21 address we wanted to address, we wanted to dissect out

1 the effects of processing and time. And so we acquired  
2 blood and processed it in the way that I've described.  
3 From the very fresh blood in a separate set of donors,  
4 we studied these parameters immediately and then three  
5 hours later, with no processing, just a three-hour  
6 hold, whereas in the processed samples it was  
7 impossible to get the datapoint before three hours. We  
8 did that and then at eight hours one day, four, seven,  
9 two, three, four and then six weeks typically.

10           And what we found is that the total --  
11 bound hemoglobin fell significantly by that three hour  
12 time point and again irrespective of processing for  
13 exposure to the additive solutions. That level was  
14 comparable to the seen in the first post-processed  
15 samples, and this did not change at least not  
16 significantly over the remainder of the studied  
17 duration. Bioactive SNO hemoglobin fell to a similar  
18 degree.

19           Again the first post process day before was  
20 similar, no significant changes, a trend here but there  
21 is not significant. We had a resurgence in SNO around

1 a week. We also looked at SNO in the red blood cell  
2 membrane. As I mentioned, membrane protein AE1 as one  
3 example binds SNO in accepting it in transfer from  
4 hemoglobin as part of the process for SNO getting out  
5 of the red cell. And that pool of SNO was profoundly  
6 depressed at the first time-point measure, which was  
7 eight hours. This was a process sample.

8           In addition to the SNO hemoglobin measure,  
9 photolysis chemiluminescence, we also made similar  
10 measurements using the 3C technique that I mentioned,  
11 another SNO measurement technique that measures the  
12 total SNO in the red cells and that was depressed to a  
13 couple degree. And, the data here are a median between  
14 25 to 75 percentiles probably. Red cell bioactivity  
15 was significantly depressed in the first time-point,  
16 that is, at about a three-hour point without any  
17 processing or solution exposure. And some moving  
18 around -- no significant change from here on out for  
19 the remainder of the studied duration.

20           As Colleen mentioned, the red cell is often  
21 asked to get through capillaries and other microvessels

1 that are narrower than a cell and to do so it needs to  
2 be able to deform. And this is a sign of a healthy red  
3 cell and when impaired can impair regional blood flow.  
4 We looked at red cell deformability as a function of  
5 storage, choosing two clinically significantly sheer  
6 stress levels, 3 and 30 Pascals.

7                   This was done in a so-called NORKA device  
8 or ECTA cytometer in which the red cells are in between  
9 two cylinders, one of which is rotated and you  
10 optically measure the elongation effects, that is, how  
11 much the red cells elongate as a function of that sheer  
12 stress and the more they elongate, the more deformable  
13 they are.

14                   The decline in deformability has a much  
15 different time course as you with see decline in  
16 vasoactivity. And, the values, the P values here are  
17 for the entire curve. There really is a change that  
18 takes place over a matter of weeks. These are raw  
19 datapoints from our ATP assays in the study and ATP  
20 fell to a comparable degree compared to previous  
21 studies that have looked at this. Again this is a

1 slower decline compared to the early declines in NO.

2                   We looked at several other potential  
3 players, interleukins, six and eight, TFNL -- really  
4 very little -- no significant change over time. This  
5 is in the supinates. Again this is in the supinate  
6 whereas this red cell data, this red cell and SNO data  
7 are from washed samples from the units.

8                   We also looked at the availability of these  
9 stored red cells to adhere to stimulated endothelial  
10 cells and there was none, essentially consistent with  
11 other studies in leukoreduced red blood cell units.  
12 There was no significant increase in  
13 phosphatidylserine, PS exposure over time. I mentioned  
14 the lack of change in cytokines, that there was no  
15 bacterial contamination of the units.

16                   Free hemoglobin toxicity is another lesion  
17 with red cell storage. But, I have listed this here to  
18 remind us that in some cases we separated out the  
19 supinate.

20                   Summarizing the main new findings, red cell  
21 vasoactivity and its mediator, SNO hemoglobin are

1 depressed early during red cell storage and independent  
2 of exposures. The timing differs for at least two  
3 different significant functional changes in the stored  
4 red blood cell, one being very early and one taking  
5 place here.

6                   Further study is needed to determine our  
7 ability to improve clinical outcomes with red blood  
8 cell transfusion. And I think directions suggested by  
9 there kind of research are studies to see whether we  
10 can prevent some of these lesions in the first place,  
11 to test whether we can correct the loss ex vivo, that  
12 is in the blood bank, or in vivo, that is in the  
13 patient once we've given a transfusion or while we're  
14 transfusing and I think you get a high -- need for  
15 markers. Some of these parameters can be used for  
16 markers that will to help us decide when to transfuse,  
17 what we should be looking for in the first place to  
18 decide if our patient really needs a transfusion.

19                   Future directions for our group, we're  
20 interested in defining the relative contributions in  
21 vivo, these different functional changes that we see in

1 vitro, specifically the deformability changes, the  
2 vasoactivity, lesions, and the adhesion changes  
3 preventing it. The mediators of interest are ATP and  
4 SNO hemoglobin. They play into the vascular  
5 dysregulation as a function of storage and to help in  
6 ways to see whether we're depleting ATP, for example,  
7 with regudisol (phonetic) or RBC SNO, which can be done  
8 in a few different ways or preventing their loss may  
9 correct the RBC storage lesion in the big sense in  
10 vitro and in vivo.

11           So, specifically, and coming back to this  
12 schematic, that outlines how the system works, as we  
13 understand it, you know, there are a number of forces  
14 during storage that act to grade SNO in hemoglobin.  
15 For example, one, you're keeping the red cell unit at a  
16 relatively low PO<sub>2</sub> and that's a normal, we call that a  
17 normal PS PO<sub>2</sub> but it's not normal for blood not to be  
18 cycling back and forth between T and R structures. I  
19 think it's also worthwhile to investigate optimal pH  
20 for preservation of these mediators and of function, to  
21 investigate whether the extracellular files, for

1 example, the plasma that's there present for those  
2 first few hours while a red cell unit is being acquired  
3 and processed, whether that is beneficial or not and so  
4 on. I think I'll stop there.

5 DR. BRACEY: Thank you. Given the rapid  
6 fall-off and I guess the clinical observation that  
7 transfusion is worse in some instances than none, this,  
8 you know, one sort of the simplistic way of thinking  
9 about this is that this underscores just the risk of  
10 transfusion, period, rather than necessarily the  
11 storage related, long storage related risk because of  
12 fall-off, so, so rapid.

13 DR. McMAHON: I think that's fair to say.

14 DR. BRACEY: Could you comment on that?

15 DR. McMAHON: In other words, at least the  
16 new findings from the study, at least directly  
17 speaking, say more about why a transfusion may be worse  
18 than no transfusion or more units may be worse than  
19 fewer units rather than what's worse about 28-day old  
20 versus 7-day old blood, right. And I think, you know,  
21 I think it will be important to address these things in

1 concert and, you know, if there are lesions that can be  
2 corrected or ameliorated early on, they may have  
3 downstream consequences. For example, you know, early  
4 correction of NO in the red cell may improve the  
5 ability of the red cell to maintain its deformability  
6 of storage.

7                   There's a link between those two, that is,  
8 we will get into, and also, and, you know, similarly we  
9 know from Stemler's -- that it's possible to get NO  
10 back into the stored red cells and get the function  
11 back on lipid. What we don't know and we started to  
12 work on is whether that's a good thing for  
13 deformability or a bad thing, we know with sepsis  
14 there's leukoreduction and deformability of red cells  
15 gets worse. So it might be the red cell -- worse.  
16 This preliminary series of experiments, we seem to get  
17 just a little bit better with -- but these kinds of  
18 coordinated approaches to the multiple questions I  
19 think are needed.

20                   DR. BRACEY: Additional questions or  
21 comments? Dr. Epstein?

1 DR. EPSTEIN: Thank you for helping us to  
2 understand a complicated subject. I guess my question  
3 is, it's been presented that there's rapid restoration  
4 of SNO hemoglobin after transfusion and the question in  
5 my mind is, if you look at long-stored blood versus  
6 short-stored blood, is there a difference in greater  
7 constitution in vivo of SNO hemoglobin?

8 DR. McMAHON: You mean there's  
9 demonstration of DPG getting restored?

10 DR. EPSTEIN: Well, I guess I'm asking  
11 about SNO hemoglobin. Is it not --

12 DR. McMAHON: It's not all the same.

13 DR. EPSTEIN: Yeah, is it not rapidly  
14 reconstituted and does that differ with younger versus  
15 older stored blood?

16 DR. McMAHON: That's a good question, that  
17 we don't have the answer to that, haven't done that.  
18 But it won't necessarily be the case. It may be the  
19 case that you get restoration of SNO after transfusion  
20 if your patient is okay and can make in NO properly and  
21 so on. But the opportunity may be, may have been lost

1 to get NO to the right targets. For example, you know,  
2 we know there's this link between red blood cell NO and  
3 its deformity but we don't know a lot about the  
4 molecular link between the two, is it spectrum, is  
5 spectrum getting isolated, and it might be that  
6 oxidative changes that have taken place during storage  
7 are such that NO given later is too late, as an  
8 example.

9 DR. BRACEY: Any other questions? Doctor,  
10 thank you very much.

11 DR. McMAHON: Thank you.

12 DR. BRACEY: We are at a point for  
13 Committee discussion. Oh, yeah, Dr. Pomper?

14 DR. POMPER: I just had some comments.

15 DR. BRACEY: Okay.

16 DR. POMPER: I have been taking some notes.  
17 I just want to comment that from all the information  
18 we've seen from this morning that there is very little  
19 evidence presented that has shown on the benefits of  
20 old blood. So, it's I think for me reasonable to think  
21 that, I can hardly think of any benefit to older blood;

1 rather, there's a lot of detriment, seemed to be gained  
2 in the information and the research. The only benefit  
3 I can come up with so far is that it is there on the  
4 shelf, so, it's better to have the older blood there  
5 than none.

6                   And, so, what's missing for me from this  
7 morning is an estimation of, well, how would keeping  
8 fresher blood on the shelf impact availability? I  
9 think Dr. Koch had referenced this by suggesting that  
10 it would be very, it would be a good idea to have  
11 mathematical modelling of inventory management. On a  
12 more simplistic level, that some of this information is  
13 in the journal paper, that there may be differences in  
14 the age of blood on the shelf based on blood type and  
15 there are also, I think there's quite a variable amount  
16 of blood that's issuing practices from hospital to  
17 hospital.

18                   So, we really don't know whether a  
19 hospital, what age the blood is when a hospital gives  
20 out the blood. In fact, it's very difficult for me to  
21 tell the age of blood of a unit when we issue it. In

1 fact, I have to go through a little spreadsheet and  
2 really can't just look at the unit and tell. I know  
3 when it will expire but I don't know how old it is.  
4 And so I think that's difficult for a lot of blood  
5 banks to actually ascertain, how old is the blood. So,  
6 my first comment was that it would be nice to have more  
7 information on this. And finally as these restrictions  
8 get layered on, we would all like to have fresh blood  
9 that's very, very specialized and it's very,  
10 essentially the best we can provide and so as these, as  
11 any new restriction becomes added to a blood  
12 transfusion order it's for me difficult right now to  
13 gauge how this would affect what blood is available.

14 DR. BRACEY: Well, actually that's one of  
15 the considerations that Dr. Holmberg had in  
16 preparation. You want to make a comment on that?

17 DR. HOLMBERG: Yes. Thank you, Dr. Pomper.  
18 That's one of the questions we really struggled with in  
19 preparing for this meeting and numerous people within  
20 the government were asking the same question. You  
21 know, we have anecdotal stories as far as what is the

1 average red cell age. For instance, I heard somebody  
2 talk in Chicago that, you know, you know, at his  
3 hospital -- he's a surgeon, cardiovascular surgeon --  
4 the average age of red cells, 29 days. You know, you  
5 go somewhere else, it may be older than that, may be  
6 younger than that.

7                   And, I think that it depends a lot on  
8 institution. Whether the institution is a large user,  
9 many times the distributor may send the blood that may  
10 be older to that facility so that it can be used. And  
11 that's an assumption, too. I don't know that for a  
12 fact. But what we are trying to do is within our blood  
13 safety or blood availability safety information system,  
14 basis, is that we're contemplating going out with a  
15 question in that daily inventory to ask what is the  
16 average or actually I should say what is the median red  
17 cell on your shelf and at a specific time ask for that  
18 information. But there's other questions that we can  
19 possibly ask in that survey.

20                   So, I'm open to suggestions on trying to  
21 get to that information. I think any information, you

1 know, whether the results, the final results in  
2 clinical studies are one way or the other, I think that  
3 there's some basic information that we need to have as  
4 far as the availability of blood products.

5 DR. POMPER: Depending on the system setup  
6 at a particular institution that could be an easy or a  
7 difficult question. In fact, I would love to know how  
8 that's handled at Cleveland Clinic, how they measure  
9 this. For us the way to determine the age of a unit of  
10 blood would be to look at a computer system and find  
11 out what storage solution it's in, then we would take  
12 the expiration date and based on the storage solution  
13 subtract the appropriate storage time available to  
14 calculate some estimated date of collection. Then you  
15 would have to compare that to the date of issue to find  
16 out how old the blood is. It's not something that you  
17 can do fairly quickly.

18 And, so, it's a, you literally have to go  
19 back unit-by-unit and pull this out. It's a hard  
20 number to come by and our computer system will not  
21 generate that for us readily. So it's more difficult

1 to estimate the age of blood on a day-to-day basis but  
2 yet it's critical for a lot of these issues. We have a  
3 high-volume transfusion service and we looked at a tiny  
4 little element of this a while back and the age of  
5 blood was vastly different from what the, in other  
6 words, what you had suggested, so.

7 DR. BRACEY: One of the internal conflicts  
8 that we have as part of our strategic plan addressing  
9 availability, we sought to have so many days of supply  
10 and the notion of having the fresher component is  
11 really, it's a contradistinction to that issue. So  
12 again these are challenges that we face and I think  
13 that, you know, one of the things that we have heard  
14 from the investigators is that the data are definitely  
15 suggestive and we need to proceed with analysis to  
16 study but maybe right now is not the time to make a  
17 significant change though we should know what the  
18 impact would be in terms of, if we make a change in  
19 terms of inventory availability. Dr. Epstein, you had  
20 a comment.

21 DR. EPSTEIN: Well, my comment is just that

1 it's hard to disassociate safety of blood from the  
2 question of when is blood needed. Because safety is  
3 not an absolute thing; it's relative to the intended  
4 use, in other words the need setting. And I think that  
5 part of the problem here is that it may well be that  
6 older blood is not as good as younger blood. After  
7 all, you know, you're talking about living cells and  
8 they're perishable. You know, we know from recovery  
9 studies that recovery climbs as bloods age in storage.  
10 So these are perishable goods. We have the same  
11 problem going on with platelets. The question is, how  
12 bad can they get and still be of clinical benefit in  
13 the setting where there's a need? And this is where it  
14 ties into the issue of availability.

15           In other words, if older blood is not as  
16 good as younger blood but older blood is still better  
17 than not getting blood, we die without it, then it  
18 becomes an availability question, or, in other words at  
19 what point can you no longer manage an inventory and  
20 provide blood? So I see it as a good, better, best  
21 type situation and that there's really, it will be

1 very, very hard for us to figure out at what point aged  
2 blood is no longer acceptable if we can't figure it out  
3 in the context of using blood where blood is needed.  
4 And that's my point.

5 DR. BRACEY: Ms. Finley?

6 MS. FINLEY: I actually echo what Dr.  
7 Epstein said but as we move forward in looking at the  
8 schedule this afternoon we don't have a lot of time for  
9 discussing but I wanted to just alert my fellow  
10 Committee members to the fact we're looking at broad  
11 issues of policy here and not, I just want to make the  
12 point that we can't get mired in the concept of  
13 whether, you know, a certain number of days too long  
14 versus others. We don't have enough information in  
15 that regard and we don't have frankly the authority to  
16 do that. That's strictly, it's a regulatory issue.  
17 So, I just wanted to before we get too deeply involved  
18 in all of this, make those points so you think about  
19 them in the back of your mind as we move forward this  
20 afternoon. There are a lot of interesting questions.  
21 There are utilization issues that were raised in the

1 hallway that I think we should include in some of our  
2 recommendations but I just wanted to make sure that we  
3 understand that we do policy and BPAC does more  
4 scientific evaluation.

5 DR. BRACEY: Well, that's understood but I  
6 think that what we have heard from many of the  
7 investigators today in terms of policy, support of  
8 research, not redirection of dollars but accumulation  
9 of more dollars driving policy to support answers to  
10 the questions is important.

11 MS. FINLEY: Agree.

12 DR. BRACEY: Dr. Klein and then Dr. Lopez.

13 DR. KLEIN: First of all, I wouldn't  
14 entirely agree with that because I think if there is an  
15 issue -- we've heard a lot of the data but if there is  
16 an issue where there are a significant number of  
17 patients who are dying or suffering morbidity either  
18 because of too much blood or aged blood, we need to  
19 find out why and what to do about it. And that's a  
20 research investment issue and I think that is a broad  
21 policy issue. I also wanted to point out to my

1 colleague that while I'm not advocating for older blood  
2 although I have more respect for age as the years go  
3 by, that both cell-associated viruses and graft versus  
4 host disease we're associating with younger blood so it  
5 depends again on where you cut it. There could be  
6 other disadvantages as well.

7 DR. BRACEY: Dr. Lopez?

8 DR. LOPEZ: I just wanted to make one  
9 comment. We have talked about this component from the  
10 point of view of age of red cells, having a number of  
11 red cells available but we have not really addressed  
12 another very important component, that is efficient  
13 practices. Are we really, when we talk about the  
14 negative effect of blood are we questioning that  
15 transfusion was needed at all? And I think we really  
16 need to look more at transfusion practices and review  
17 our standard guidelines.

18 DR. BRACEY: Well, yeah, and tied into that  
19 would be perhaps education because I would venture to  
20 say that clinicians that use blood products -- and we  
21 have heard today that they're one of the most commonly

1 used therapeutics -- in no way do they think at the  
2 level of what we've discussed today and I think that if  
3 there is clearly a need, that is to educate people  
4 about what exactly what it does. Dr. Triulzi?

5 DR. TRIULZI: A couple points. One, Dr.  
6 Steiner, who this afternoon is going to present some  
7 pilot data that we needed to collect for the study  
8 design on age of blood in which University of  
9 Minnesota, University of Pittsburgh have about a  
10 hundred units worth of blood issued to cardiac surgery  
11 patients, so there's a frequency distribution to see at  
12 least in two high volume centers what that looks like.  
13 So that will give us a picture. I was going to mention  
14 the same thing Harvey did on older blood. There's some  
15 things that may have an advantage. I'll just add  
16 microchimerism, which is something that we're learning  
17 about, which does seem to be a property of younger  
18 blood as opposed to older blood, the clinical  
19 significance of which remains to be seen and is not  
20 abrogated by leukoreduction.

21 So, there are reasons to not reject older

1 blood out of hand, other than that. And then I think  
2 Ileana raised a good point, that perioperative blood  
3 management has become a real banner for transfusion  
4 medicine anesthesia and surgery and hospitals that have  
5 embraced that, truly, like Richard Spence and Englewood  
6 have about 90 percent of cardiac surgery patients don't  
7 get any allogeneic blood. And so we have a long way to  
8 go to look at some of these studies. These patients  
9 are getting on average three, four units of blood that  
10 we could probably eliminate much of the risk just by  
11 improved practice. And that's not just transfusion  
12 trigger but optimizing hemoglobin preoperatively,  
13 optimizing platelet function and coagulation status and  
14 use of salvaged blood during surgery. So, I think that  
15 there's probably as much to be gained in that as there  
16 is with the actual blood that is required.

17 DR. BRACEY: So other thoughts of the  
18 Committee? Let me just then go back to the basic  
19 questions again. And we will hear this afternoon about  
20 trials that are planned and we will also hear from Dr.  
21 Dumont regarding the best collaborative practice in

1 terms of looking at the use of older blood. Dr. Hebert  
2 will not be with us. He's had reasons for why, an  
3 emergency came up so he won't be with us. But back to  
4 the basic question, one, and I think the big question  
5 is, based on the information that we have at hand,  
6 should we recommend a change in medical practice, in  
7 terms of what we do on a day-to-day basis?

8                   And, at this point, even though there is  
9 concern, and obviously one recognizes that the changes  
10 occur with storage, I think I heard from a number of  
11 the experts that while we're not quite there, what is  
12 the consensus of the Committee? Does the Committee  
13 concur with maintaining? Again, but one of the issues  
14 -- and this actually, this sort of crosses because this  
15 really does become sort of a regulatory, yeah, this  
16 kind of gets into the regulatory area, and perhaps this  
17 sort of a question may not really be germane to our  
18 deliberations but nevertheless I don't hear a strong, I  
19 don't sense a strong consensus that we should suggest  
20 that the regulators actively revisit, you know, the  
21 storage shelf life. Ms. Finley?

1                   MS. FINLEY: I think you could, it would be  
2 a fair policy statement to say that, you know, the  
3 Committee has taken testimony on issues, that we are  
4 concerned about the impact of longer shelf life but we  
5 do not have or we do not believe that all of the  
6 scientific data is available. We can express concern  
7 in that regard and just say that we think other studies  
8 are needed or acknowledge that we believe that there  
9 are studies planned and encourage the department to  
10 hearing this out; that's, that's appropriate, which I  
11 think gets to the heart of concern without, I think,  
12 overstepping our bounds relative to the information  
13 that we have.

14                   DR. BRACEY: Okay. Dr. Klein?

15                   DR. KLEIN: The major piece of information  
16 that we don't have, that we didn't get this morning --  
17 I don't know whether we'll have that this afternoon  
18 either -- is what impact would it have, shortened by a  
19 day or a week or three weeks; what would the impact be  
20 in the United States? And I think even if blood were  
21 extremely toxic, you need to know what the impact would

1 be before you can say, well, this is what we need to  
2 do. And I don't think we have a clue right now.

3 MS. FINLEY: I agree. One other piece of  
4 information that's missing that's important is -- this  
5 goes back to Dr. Lopez-Plaza's conversation with me  
6 last night -- which is if, you know, as blood becomes  
7 more expensive and/or less available as in other  
8 countries, you know, the utilization will decline as a  
9 direct result. So, if we have that information about  
10 what our utilization would be, if there were certain  
11 other conditions including, you know, the requirement  
12 that we use less aged blood, then I think that would be  
13 an important factor to consider.

14 DR. BRACEY: So perhaps as the evolves so  
15 would the analysis of the inventory impact; in other  
16 words, the modelling of what with would happen if, you  
17 know, we would only have blood for 21 days, 14 days,  
18 five days, three days.

19 MS. FINLEY: And I didn't express it well.  
20 My concern here is that we don't say that if we were to  
21 reduce the storage time for red cells, therefore we

1 would reduce availability and we would never, we just  
2 look at the two issues as a see-saw rather than looking  
3 at the rest of it. It's a much bigger question here.  
4 So, in other words, it's not an excuse to either not  
5 use, to use less, less old blood just because we might  
6 have some availability issues, is what I'm saying.

7 DR. BRACEY: Well, looking at ways to ramp  
8 up --

9 MS. FINLEY: Exactly.

10 DR. BRACEY: -- to be able to address it.  
11 We've got one comment from the floor. Dr. McCurdy?

12 DR. McCURDY: Paul McCurdy. I was a  
13 director of a reasonable-size blood center, close to  
14 200,000 units a year, during the time when we went from  
15 21 day storage to 28 day storage to 35 and ultimately  
16 to 42 day storage. And it is my recollection, I  
17 collected fairly careful data that we almost never had  
18 what I considered an adequate supply of blood. But  
19 managing inventory supply in the region, going from 21  
20 to 28 to 35 to 42 days, the principal effects were  
21 considerable increase in the inventory of A and AB red

1 cells, which we were not short of to start with. The  
2 effect on old availability was not very large, if any  
3 effect at all. It went out about as quickly as it came  
4 in. So I think there are some differences there. And  
5 it's my opinion that with adequate inventory management  
6 going down to 28 days would not have a serious effect  
7 on availability; going below that might. And it might  
8 conceivably help in having seasonal shortages but  
9 perhaps we can overcome this.

10 DR. BRACEY: Dr. Holmberg.

11 DR. HOLMBERG: Yeah, I just want to add  
12 that, you know, in the, I should say the data that we  
13 receive on weekly basis supplied to us from the blood  
14 collecting agencies, we get days of supply. And, such  
15 things as O negatives run anywhere from a 1.8 days to  
16 maybe as plush as 2.5 but usually never more than 2.5  
17 days of supply for especially O negatives.

18 On the hospital level, there's a general  
19 rule of thumb that there's probably about an eight day  
20 supply of red cells sitting on the hospital shelf. And  
21 so I just, that's as much as I have as far as being

1 able to tease that out. Now, that eight day supply,  
2 what percentage of that is older blood, what percentage  
3 is newer blood? I think that I would have to agree  
4 with Dr. McCurdy and my experience also is that, you  
5 know, the O positive -- are usually ones that are  
6 fresher and that the ABs are definitely the ones that  
7 go much a longer period of time. For instance, the ABs  
8 usually run about 17 or 18 days of supply in the  
9 hospital.

10 DR. BRACEY: Was there a comment on that?  
11 Dr. Pomper? No? So in terms of again a general sense,  
12 am I -- let me see if I can extrapolate. Is there  
13 anyone among the committee members who feels strongly  
14 regarding a need to change practice?

15 DR. LOPEZ: Regarding age?

16 DR. BRACEY: Regarding age. So I think the  
17 answer to that question, again, in relatively  
18 straightforward ways, come out with certain provisos  
19 that follow, and the provisos being that there's not an  
20 adequate evidence yet to make that move, though there  
21 are a number of suggestive studies and this should be

1 studied more. We need to do more investigation. Dr.  
2 Pomper?

3 DR. POMPER: Just hopefully, I mean, maybe  
4 we could have a comment that it would be helpful to  
5 encourage or recommend active surveillance or  
6 monitoring of safety, the age of blood, including  
7 hospital demographic data so we can characterize if  
8 they're rural versus urban, high, if they're trauma  
9 center, not trauma center, large hospital, small  
10 hospital, et cetera, to get, to try to get a better  
11 perspective on how blood is managed at various blood  
12 centers.

13 DR. BRACEY: Actually that's a good point  
14 one of the things that Dr. Koch mentioned is that  
15 perhaps we should look at our distribution model and it  
16 could be that certain categories of institutions have  
17 blood that tends to be older than other categories.  
18 I'm trying to avoid anecdotes we actually contacted Dr.  
19 Ben Guerrero at our hospital and we collect blood in  
20 our hospital and therefore the turnover is quite rapid  
21 and our age was relatively fresh. So we assumed that

1 our older units were the units that in fact had been  
2 distributed by the blood centers so they would get rid  
3 of, you know, shorter outdated blood to the larger  
4 volume units and in fact that was not the case. So it  
5 was rather surprising. So I think it really would  
6 behoove us to look at what the models are and maybe  
7 even to engage the providers to see, well, how do you  
8 distribute the blood in the community, because, you  
9 know -- Ms. Wigman?

10 MS. WIGMAN: Teresa Wigman from AABB. Just  
11 some background on that issue. In the national blood  
12 collection and utilization survey, that's done every  
13 other year, we asked that question in hospitals in the  
14 past in terms of what's the average age of a unit  
15 transfused in your facility for red blood cells and  
16 what have you, and, I believe, I don't have the figure  
17 right in front of me but for red blood cells in the  
18 last survey, from 2004, they, I think the average age  
19 was about 15 days. But we have done a follow-up  
20 question in this more, most recent survey to figure out  
21 whether hospitals are basing that on calculating the

1 averages, average age or just doing estimates and our  
2 preliminary findings are that the vast majority of  
3 hospitals are just giving an estimate. And so, I would  
4 say, suggest that when you're, if we do collect data on  
5 that, it would be look at it carefully because the  
6 value of the data may not be as strong as we would  
7 want. I think only 3 percent of the hospitals were  
8 actually calculating average ages and I think that  
9 reflects the difficulty that the hospital has in  
10 supplying any information like that because they don't  
11 have it in their systems.

12 DR. BRACEY: That's an excellent point  
13 because when we got the information that we had on our  
14 age, it took a little bit of arm twisting. Dr. Klein?

15 DR. KLEIN: I would just caution also on  
16 how we use those data because an average or a mean  
17 might be a nice number but if you don't have the  
18 ranges, I think the issues with supply in the City of  
19 Washington are quite a bit different than the issues  
20 with supply -- where I think blood on the shelf might  
21 be quite a bit older for a variety of reasons and it

1 might be safe at Johns Hopkins Hospital. The other  
2 comment I wanted to make on it for me is that, if I  
3 may, is I would like to take a page perhaps from Jay's  
4 book from yesterday and say that we have heard some  
5 data that raises some concerns about these issues. And  
6 so a frank no, I think, is maybe a little bit rigid  
7 because I think clearly we don't have answers and  
8 there's a potential issue here of very broad medical  
9 significance to the country and we need to investigate  
10 that -- and --

11 DR. BRACEY: Thank you. Dr. Epstein?

12 DR. EPSTEIN: Yeah, it troubles me if we  
13 would move to, you know, a yes or no answer to such a  
14 question. I think it's more valuable to the Department  
15 for the Committee to make a finding that the available  
16 information has raised concerns which ought to provoke  
17 suitable research.

18 DR. BRACEY: Okay. Additional comments or  
19 questions on those? Oh, yes. Sorry.

20 MS. BENZINGER: Yes. I would just like to  
21 reinforce what Dr. Epstein just said and also

1 recognizing -- and I'm partial to lung patients --  
2 there seemed to be a variance in there that's more  
3 impairment on them on the oldest sounds as to what I  
4 gather that, so, we want to take it as presented, on  
5 the data that was presented --

6 DR. BRACEY: Could you say that again?

7 MS. BENZINGER: I'm sorry. I was  
8 reinforcing what Dr. Epstein said.

9 DR. BRACEY: Oh, okay. Under the question  
10 of should there be more research on, I think that we  
11 would have general agreement that we need more research  
12 both in terms availability and the ways to understand  
13 the complex storage lesion and obviously the blood bank  
14 would strive to have improved products. I mean, that's  
15 what we do.

16 DR. LOPEZ: I have one more comment.

17 DR. BRACEY: Yes.

18 DR, LOPEZ: I think number two, we should  
19 specifically address that. We need to be looking at  
20 the clinical guidelines that are in use right now  
21 because it's a very big component of availability and

1 then also maybe we should really be looking at not only  
2 hemoglobins or platelet counts but other levels, of  
3 clinical assays or evaluations that would help  
4 determine the need for transfusion and also the outcome  
5 of transfusion. I think we need to look at more data.

6 DR. BRACEY: Dr. Bianco, you have a  
7 comment?

8 DR. BIANCO: Yes, Celso Bianco. I would  
9 like to extend some of the research to the -- set of  
10 the transfusion. I think that we are treating in  
11 clinical data that we have, pipette -- patients as a  
12 generic. If the problem was specific floor population,  
13 this may be a couple percent of the blood that is  
14 distributed and the impact would be much smaller than a  
15 general change in age of blood. So I think it would be  
16 very important to look at different status --

17 DR. BRACEY: Thank you. Dr. Benjamin?

18 DR. BENJAMIN: Can I just agree  
19 wholeheartedly with Dr. Bianco on this one? Because I  
20 think the papers that have been presented raise serious  
21 concerns especially in -- surgery, on patient group,

1 and, this really does get to the confidence that the  
2 patients under surgery might have around the blood  
3 supply and safety of blood supply. So I do think we  
4 need to have some comment around that issue, that there  
5 really is, I think, an urgent need to understand the  
6 biology and clinical relevance of red cell agent given  
7 this patient group, especially.

8 DR. BRACEY: Dr. Ramsey?

9 DR. RAMSEY: Yeah, just, I agree with  
10 what's being said. One other aspect comes to mind  
11 would be that I guess there have been efforts to try to  
12 extend red cell storage using various added solutions  
13 beyond what might be possible now. So I guess one  
14 suggestion that comes to mind would be that, that when  
15 in terms of efforts, interventions that would be made  
16 on a red cell, in a red cell storage system for other  
17 reasons such as extending the shelf life, obviously  
18 that would have the impact on many of these biochemical  
19 markers that we heard about.

20 Another aspect would be pathogen reduction  
21 technology, I don't know that there's any connection

1 between pathogen reduction technology and the red cell  
2 biochemistry we're hearing about but it would be  
3 something to keep in mind, I guess, for those who know  
4 a lot more about it than I do in terms of how these two  
5 things might interact.

6 DR. BRACEY: Right.

7 DR. GOLDING: In listening to this  
8 morning's session, and discussion, it seems to me  
9 there's a logistical issue that we discussed and that  
10 is what is very clear that the data raises concern --  
11 policy statement right now but the data that's missing  
12 is to do prospective studies. The only question there  
13 I would ask is how many they're going to take, three  
14 years, five years, before you get the data. And,  
15 meanwhile there is this concern that we haven't done  
16 anything about. My question is from a logistics point  
17 of view one of the missing things is, what is the  
18 impact?

19 The reason why we don't want to take an  
20 action because there may be a very negative impact on  
21 blood supply but maybe, maybe the answer to that

1 question could be more quickly answered by, I would  
2 think I'm not sure, but it maybe you could find out in  
3 a month or a few months or a year what would be the  
4 impact of changing the storage time from 42 days to 28  
5 days to 14 days, and if that is known, impact is small,  
6 depending on the change, isn't that a way to go forward  
7 and to say, well, then see what the impact is, then do  
8 a risk-benefit analysis and make a decision so we don't  
9 wait five years or longer to find out if we really have  
10 a major issue here that a lot of people have been  
11 adversely affected.

12 DR. BRACEY: Thank you. If there are no  
13 more comments, I think we have had a good discussion of  
14 the issues at hand and we're now ready for a lunch  
15 break and we'll rejoin in an hour.

16 (There was a break in the proceedings.)

17 DR. BRACEY: Good afternoon and welcome  
18 back for the afternoon session. As I mentioned before,  
19 unfortunately Dr. Hebert will not be able to join us.  
20 Our next speaker is Dr. Simone Glynn. Dr. Glynn is in  
21 the Transfusion Medicine and Cellular Therapeutics

1 Branch of the Division of Blood Diseases and Resources  
2 from the NHLBI. Dr. Glynn will tell us about the plans  
3 for future red blood cell studies that we're all so  
4 looking forward to. Thank you.

5 DR. GLYNN: All right. Well, thank you and  
6 good afternoon. And I wanted to thank you for giving  
7 me the opportunity to present to you our plans for the  
8 red blood cells transfusion studies at the National  
9 Heart, Lung and Blood Institute. So just a reminder, I  
10 am in the transfusion medicine cellular therapeutics  
11 branch, which is in the division of blood diseases and  
12 resources so this is an extramural division. That  
13 means that our major role there is to fund and support  
14 and manage a large portfolio of grants and contracts in  
15 research areas that we do specialize in. So, just to  
16 mention also that you can have investigator-initiated  
17 grants or you can have also institute initiated  
18 programs.

19 And, just to remind the Committee that we  
20 have two programs that they may be particular  
21 interested in today. One is the transfusion medicine

1 and hemostasis clinical trial network program, which  
2 includes 13, I'm sorry, 17 clinical centers and one  
3 coordinating center, nearing, and as the name  
4 indicates, this network is charged with conducting  
5 clinical trials in the areas of transfusion medicine  
6 and hemostasis.

7           The other program that was also initiated  
8 and is of interest if today's discussion is the  
9 Retrovirus Immunology Donor Study Program or RIDS.  
10 RIDS is in -- phase, it consists of six blood centers  
11 and one coordinating center, Westat, and it is charged  
12 with conducting our lab survey and AE, epidemiological  
13 studies related to blood donation safety and  
14 availability. That's just a reminder of what we do.

15           Okay. So, I also wanted to inform you that  
16 the institute recently released a strategic plan to  
17 serve as a guide for its research and training programs  
18 for the next five to ten years. And, the process  
19 initially involved a series of thematic strategic  
20 planning meetings involving members of both extramural  
21 and intramural research communities.

1                   And one such group concentrated on issues  
2 related to global blood safety and availability. So,  
3 what this group recommended, the group met in May of  
4 2006 and came up with a series of recommendations. And  
5 I just listed the first two major research needs that  
6 were identified and these were to define the  
7 immunobiology and the immune consequences of  
8 transfusion and to define the biology and the clinical  
9 indications for red cell transfusion.

10                   So, I took a quote from the minutes of the  
11 workshop that you have here below and the group said  
12 that the impact of component factors, including storage  
13 age -- so that's what we're here to discuss today -- on  
14 the function of transfused red cells and physiology at  
15 clinical levels are largely unexplored so essentially  
16 requiring more research.

17                   So what we usually do when we have a  
18 workshop is we follow that up with kind of specific  
19 working groups that are able to flesh out the details  
20 of exactly what kind of research is needed. And we did  
21 have a such group. It was convened, it was in May of

1 last year, and this group essentially came up with very  
2 similar recommendations as a workshop, which was good,  
3 and also came up essentially with the idea that there  
4 is a strong need for studies on transfusable red cell  
5 units as a function of preparation and storage.

6                   So, why is there a need for research in  
7 this area? And I think we heard about this quite a bit  
8 this morning. We've heard that there is a growing  
9 volume of literature that reports that there is an  
10 association, and again I'm not using the term causal  
11 association, it's just an association between  
12 transfusion, specifically the number of transfusions,  
13 and an increase in length of hospitalization,  
14 postoperative infection, lung injury, tissue, hypoxia,  
15 bleeding, thrombosis and multiorgan failure.

16                   We also have another body of literature  
17 that's emerging and again with some studies, as we  
18 heard this morning, that do show an association between  
19 the transfusion blood that has been stored for longer  
20 period of time and some poor clinical outcomes. We  
21 also heard this morning that we do have some studies

1 that do not show association and that these reports are  
2 often very difficult to interpret and potentially  
3 confounded by severity of the illness. Although we  
4 tried to adjust our models for various confounders,  
5 this is all, you know, within, we're always making some  
6 assumptions in statistical models and it's really  
7 difficult to really adjust for differences at baseline  
8 and approach. I don't think you can, actually.

9           The potential mechanisms that have been  
10 suggested, essentially two major hypotheses, one would  
11 be the storage lesion defects cause some immune and  
12 inflammatory complications in the transfusion  
13 recipients, as we heard this morning, and then another  
14 hypothesis is that there may be susceptibility factors  
15 which predispose certain patient populations to the  
16 potential adverse effects of red cell transfusion. So  
17 essentially this probably, if they do exist, coexist.  
18 We know there a storage lesion defect but I think the  
19 research question is whether this has clinical  
20 consequences.

21           So, essentially we are now faced with a

1 research question of importance, which is again whether  
2 the storage of red cells somehow predispose you to have  
3 poor clinical outcome if you are transfused with a red  
4 blood cell unit that has been stored for longer periods  
5 of time.

6                   So how are we going to be addressing this  
7 question? And, I guess -- I'm going to talk about  
8 clinical trials in a minute -- but usually when we  
9 address research questions we try to or at least I try  
10 to think about it in what are the research tools that I  
11 should be using to address this research question, and  
12 I kind of categorized the research tools into three  
13 broad categories. One has to do with the epidemiology  
14 observational studies, research tools that we have.  
15 The second one has to do with the phase one to four  
16 clinical trials, the clinical trial research tools, and  
17 then the last one are of course our basic animal model  
18 and early human physiological research we can do in the  
19 lab.

20                   So, first thinking about this new research  
21 question, so one thought was, is there a need to do any

1 additional epidemiological associational studies? And  
2 we decided that there would be a need if we could find  
3 a database that would provide a lot more information on  
4 many more patients than -- available so far. So, we're  
5 trying to establish a collaboration for our RIDS  
6 program and more specifically our UCSF Center for Drs.  
7 Murphy, Busch and Custer, with investigators in Sweden  
8 and Denmark, who have established a very large donation  
9 and recipient information database which is called the  
10 ScanDat database.

11                   And essentially it's very comprehensive and  
12 it includes information on both the donations and on  
13 the clinical outcomes and on mortality, of course, on  
14 the recipients. And we think that we would be able to  
15 do an observational study that will include about  
16 400,000 recipients, which, of course, is much larger  
17 than what you have been able to see so far. And the  
18 nice thing about that is then we would be able to  
19 evaluate some of the subpatient populations that are of  
20 interest, much better because then the numbers would be  
21 bigger. So, that's what we're going to be trying to do

1 in the epidemiological observational arena.

2                   Going on to the clinical trial category --  
3 -- relate that there is a need for phase three clinical  
4 trials, and we also believe that it should be done  
5 probably with different patient populations because I  
6 think, as was discussed this morning, what you find in  
7 one patient population is not really reflective of what  
8 you may find in another patient population.

9                   So, it's unfortunate that Dr. Hebert will  
10 not be able to join us to discuss the ABLE clinical  
11 trial. I can tell you a little bit about what I know  
12 but I may, I don't know a lot of details about it.  
13 What I know is that it's going to be a phase three  
14 randomized clinical trial, in intensive care unit  
15 patients, and they are going to be comparing, if I'm  
16 not mistaken, less than 7 day old red blood cell  
17 storage versus standard of care. And I do not know  
18 what the standard of care is in Canada, so  
19 unfortunately I can't answer that for you. What I know  
20 also is that it's been funded and it's going to get,  
21 you know, it's going to go and get started pretty soon,

1 I think in the next couple of months, I think. So  
2 that's really exciting.

3           And then the other clinical trial that  
4 we're trying to give a lot, and that's within the  
5 transfusion medicine and hemostasis clinical trial  
6 network, is called RECESS and Marie Steiner is going to  
7 tell you about our plans there, but essentially this  
8 patient population is the cardiovascular surgery  
9 patients.

10           And going on to basic research, we also  
11 feel strongly that there is a need for basic research  
12 to better characterize storage lesion elements and to  
13 also, maybe foremost, understand the interaction  
14 between the storage lesion elements and the host, so  
15 the vessel wall, host cells such as pulmonary  
16 endothelial hematopoietic cells and of course the role  
17 of the storage lesion elements on microoxygenation.  
18 And pretty much regardless of what we find, we review  
19 the clinical trials or, you know, over observational  
20 studies. I think it's always important to try to  
21 improve our red cell therapies and to be able to do

1 that we need to understand what's in those bags;  
2 otherwise, we can't change them.

3           So, thinking about basic research and how  
4 to support that, the first thing we usually do is we  
5 look across NIH and we try to find out, well, how many  
6 grants are being supported in this area so we did do  
7 that search. As you can see, there are not many --  
8 kind of listed them here -- there are not that many  
9 grants that are being supported throughout NIH so  
10 that's 27 institutes and centers and none of them have  
11 anything to do with blood products.

12           So, there was no doubt in our mind, in  
13 regards that there was a need for NIH initiative to  
14 stimulate research in this area. And we did that and  
15 Dr. Nabel approved -- Dr. Nabel is our director, and  
16 this request for application was released in March of  
17 2008. So, it was just released. And it's an  
18 initiative in blood banking and transfusion medicine  
19 that proposes to support basic and translational  
20 research including basic human physiological research,  
21 and it's aimed at again characterizing storage lesion

1 elements and then again trying to understand the  
2 interaction between the elements and the host.

3           NHLBI intends to commit up to three and a  
4 half million in fiscal year '09 to support an  
5 estimated, we hope, five to eight meritorious projects.  
6 Support will be provided for four years as long as our  
7 sponsor is successful and the scientific review of the  
8 applications will be managed by NHLBI so that means we  
9 will convene a special review with particular  
10 expertise, in that particular -- so this is different  
11 from the usual grants that are reviewed by the Center  
12 for Scientific Review.

13           And I've just put some dates. If you  
14 missed last week's application due date, then please  
15 consider applying for the January 1st one, and we hope  
16 to be able to fund -- by September 30 of '09. And then  
17 finally I just added this as a reminder to everyone --  
18 that of course we always encourage investigators to  
19 submit applications, R-1s and R-21s -- to -- RFA or PAR  
20 or something, we have. So that's, all I have.

21           DR. BRACEY: Thank you. We're certainly

1 happy to hear that there are plans afoot to support  
2 this important area. Questions and/or comments from  
3 the Committee regarding the presentation? Yes, Dr.  
4 Holmberg?

5 DR. HOLMBERG: Dr. Glynn, you commented  
6 that 3.5 million will be available in fiscal year 2009  
7 and this potentially could go for four years. What  
8 would be, is it anticipated how much would be a  
9 sustained amount for fiscal year ten, eleven and  
10 twelve?

11 DR. GLYNN: The anticipated amount again,  
12 depending on the, you know, what we find appropriate,  
13 the same amount for every year for four years.

14 DR. BRACEY: I have a question. And on the  
15 relative scale, perhaps if we used Canada as a mark,  
16 what is our degree of investment contrasted to other  
17 nations for these sorts of efforts?

18 DR. GLYNN: Boy, that's a good question and  
19 I don't know the answer. I think definitely we know  
20 certainly in the clinical trial -- agents have first --  
21 some of the -- major clinical trials, TRICC trial, --

1 are definitely ahead in their thinking in terms of this  
2 question about the number of transfusions and clinical  
3 outcomes. So, I will say they are, they have been  
4 ahead in the clinical arena. In terms of the basic  
5 research, I don't think that actually that much has  
6 been done.

7 DR. BRACEY: Yeah, because I know one of  
8 the things that we heard from the investigators earlier  
9 today is that, you know, we have a number of leaders in  
10 the field and those leaders need, you know, funds to  
11 continue. I was interested in the comparative data.  
12 Dr. Epstein?

13 MR. EPSTEIN: I had a similar thought in  
14 mind but along the lines of international collaborative  
15 study opportunities because clearly the issue of  
16 establishing scientific evidence based for transfusion  
17 practices is a global concern. There has been lots of  
18 discussion about the various -- in Europe, you know,  
19 Council of Europe, World Health Organization bodies,  
20 and I just wondered whether there are opportunities to  
21 leverage the U.S. effort such as, you know,

1 international sites and collaborative arrangements.

2 DR. GLYNN: I'm certainly very hopeful to  
3 consider, you know, such collaboration, so, and again  
4 as soon as we, whenever we can we try do that. So, for  
5 example, just Canada as an example of what we're trying  
6 to do there, so, and certainly we should certainly  
7 think about how we could collaborate on some of these  
8 clinical trials, you know, that are hopefully going to  
9 go forward. So, that's certainly something to  
10 consider. Of course, it's always very difficult  
11 to enroll all those patients. That's the most  
12 difficult thing in the clinical trials.

13 DR. BRACEY: Thank you.

14 DR. GLYNN: You're welcome.

15 DR. BRACEY: Our next speaker is Dr. Maria  
16 Steiner. Dr. Steiner is at the University of  
17 Minnesota. She's in the department of pediatrics in  
18 the Sections of pediatric critical care and hematology,  
19 oncology, and bone marrow transplantation. She will  
20 present on the NHLBI Transfusion and Hemostasis  
21 Clinical Trials Network proposed studies.

1                   DR. STEINER: Thank you very much,  
2 Committee Chair, members of the Committee, ladies and  
3 gentlemen. And I am very honored to be here today. I  
4 am also honored to be the one representing this very  
5 distinguished group of investigators who have been  
6 working in a very dedicated fashion. We've had  
7 teleconferences once a week, almost once a week for  
8 most of the past two years in order to develop this  
9 protocol. And, some of the names I'm sure you  
10 recognize as to those you know very well.

11                   So, at any rate, our proposed study which  
12 is developed through the NHLBI's transfusion medicine  
13 and hemostasis clinical trials network is a red cell  
14 storage age study, The Pediatrician, it came out, it's  
15 called RECESS. Not that one, not that one -- manager  
16 environment, right? That one. There it goes. Okay.  
17 See, my kids put together my PowerPoints, I'll be  
18 honest. My conflicts of interest you see listed there.  
19 None of them are impacting today's presentation.

20                   I think we've spent the greater part of  
21 today discussing the fact that there still is equipoise

1 about the effects of the age of the red cell products  
2 we transfuse to our patients. We've talked about the  
3 fact that there are some retrospective studies which do  
4 show poor outcomes in patients transfused with longer  
5 storage age red cell units but then we also have  
6 discussed a little bit about the fact that there are  
7 some studies which actually show no deleterious effects  
8 if longer age products are given to our patients. And  
9 you see that some of those are small studies and some  
10 of them are larger studies which folks brought up  
11 earlier today and then last but not least is the pilot  
12 randomized control trial which is the backbone of the  
13 ABLE study that Dr. Hebert is putting forward in  
14 Canada, in which ICU patients were given blood either  
15 less than eight days old or their standard of care,  
16 which I believe is around 19 days of age, 27 percent in  
17 the less than 8 day group had life threatening  
18 infections as compared to 13 percent in the -- group,  
19 and although this is not statistically significant, it  
20 was just a pilot to put here to assignments -- ages it  
21 provides proof of to carry this forward.

1                   . I think it's a true statement that we  
2 can say that there has been no large randomized control  
3 trial which has evaluated the effect of transfusion of  
4 red blood cell units stored for different periods, on  
5 any one of these outcomes that we could choose to look  
6 at, whether a clinical outcome, on immediate oxygen  
7 delivery enhancement, on microvascular -- changes or on  
8 even standard hemodynamic variables and end organ  
9 function measures. We give red cells -- oxygen  
10 delivery and make our patients better but we really  
11 haven't demonstrated that that is the case.

12                   So our proposed phase three clinical trial  
13 has the primary hypothesis that there will be no  
14 significant difference in clinical outcome and  
15 mortality between recipients transfused with shorter  
16 storage age red cells and recipients transfused with  
17 longer storage age red cells. We're being politically  
18 correct in saying shorter longer also.

19                   The study design is patient population --  
20 am I too loud?

21                   STENOGRAPHER: Actually, if you could speak

1 up a little bit.

2 DR. STEINER: Speak up? All right. Do  
3 understand, I used to be a cheerleader and when you  
4 tell me speak up, you don't know what you're asking  
5 for. All right. Patients who are over 12 years of age  
6 and 40 kilograms in size or undergoing complex cardiac  
7 surgery which we define as multiple procedures, re-dos,  
8 something that is worth the effort to enroll them and  
9 not a straightforward first single vessel coronary  
10 bypass patient, that doesn't seem like the right  
11 patient population which to look at this issue. We  
12 want patients who are likely to be transfused either  
13 intraoperatively or within 96 hours postoperatively and  
14 we have identified a tool by which we think we can  
15 successfully choose these patients in the preoperative  
16 arena and have been transfused afterwards.

17 They will be randomized to transfusions  
18 less than eight to ten days at the time of release or  
19 stored greater than 21 days at the time of transfusion.  
20 It will also, leukoreduced AS red cells of assigned age  
21 and the age will be the age assigned for all

1 transfusions given intra and post-operatively through  
2 day 28. So they will get blood cells of this age right  
3 from the get-go, through hospitalization, which is  
4 something that hasn't been done two years ago.

5           Our primary endpoint is a clinical outcome  
6 which we're going to assess using a change in multiple  
7 organ dysfunction score, which I will refer to as Delta  
8 MODS, from the preoperative baseline to the highest  
9 composite, MODS through day ten or death or discharge  
10 for those who come first. So the highest multiple  
11 organ dysfunction score compared to the preoperative  
12 multiple organ dysfunction score and the highest  
13 composite because different end organs will misbehave  
14 at different points in time in the postoperative  
15 course.

16           Our secondary end points will be the change  
17 in MODS, discharge death, or postoperatively day 28  
18 which we will call end of study, the actually 28 day  
19 mortality rate and then measures of end organ function  
20 and oxygenation. Globally speaking lactate levels or  
21 individual end organ dysfunction markers such as

1 troponin, creatinine -- liver function tests.

2           The scheme looks like this. Patients will  
3 be consented preoperatively. They will be randomized  
4 preoperatively to either shorter storage age or longer  
5 storage age size. They will receive those cells in  
6 assigned age through their surgery into their ICU  
7 course and then through day ten which is our primary  
8 endpoint continuing on through our secondary endpoint  
9 which is day 28 after discharge. There is an optional  
10 physiologic substudy which was -- now, who gets in?  
11 First of all why are we studying cardiac surgery  
12 patients? Well, these folks commonly require multiple  
13 red blood cell transfusion and so if there are effects  
14 that we can ascribe to the age of red cells it ought to  
15 be in this population.

16           This is also a very large group of patients  
17 with very significant red cell usage. We were talking  
18 about the impact potentially on restricting age of our  
19 products and going back and doing some math with pen  
20 and pencil because I don't have a calculator with me.  
21 Dr. Goodnow published some data suggesting that there

1 are around 14 million units of red cells transfused  
2 annually in the United States. There are other  
3 references that say between 10 and 20 percent of those  
4 units are given to cardiac surgery patients, so that's  
5 around two to two and a half million units of red cell  
6 also a year. And so that's a fair number of red cells  
7 being transfused in the population.

8           We've already talked about the fact that  
9 there's conflicting data for retrospective studies and  
10 some small prospective studies have evaluated  
11 association of red cell storage time in cardiac surgery  
12 outcomes. I particularly as an intensivist like the  
13 fact they undergo invasive cardiorespiratory monitoring  
14 and so there's data available on oxygen consumption and  
15 delivery and other physiologic parameters that will be  
16 readily available to correlate to the red cell  
17 transfusion, because, after all, this is what we're  
18 supposedly getting a red cell transfusion to positively  
19 impact.

20           And lastly, it complements the ABLE study  
21 in the ICU patients. These are different patient

1 populations. We have talked about the fact that --  
2 quarter of the bypass run -- that the hemolytic effect  
3 of the age of the product may have some impact and so  
4 it's complementary; it's not the same.

5           Now, I talked briefly for just a second  
6 about the fact that we're going to do something called  
7 a TRUST score to include patients in this study. The  
8 TRUST score was a scoring tool put together in Canada  
9 about five, six years ago originally whereby they  
10 looked at well over 10,000 patients and tried to decide  
11 how best to prevent whether or not someone coming into  
12 an operation was going to need a transfusion. There  
13 are multiple scores which look at this but this was a  
14 way that we could very easily look at somebody in the  
15 preoperative setting and predict whether or not we  
16 thought they would likely need a transfusion.

17           The risk features predict the need for  
18 transfusion and per these parameters over here, the  
19 age, the gender, the hemoglobin, the weight, baseline  
20 creatinine, whether or not the surgery is elective or  
21 not, whether or not they're a re-operation and had a

1 previous cardiac surgery and then whether or not the  
2 tasks are an isolated procedure or multiple procedures  
3 necessary. Each of these is given either a zero or  
4 one. A maximum score is eight. And the predictive  
5 probability of a red cell, receiving a red cell  
6 transfusion either intraoperatively or postoperatively  
7 is dependent on the total score, zero less than twenty  
8 and greater than eight to the four, 80 to 100 percent  
9 likelihood that you will receive a transfusion either  
10 intra or postoperatively, at least one, maybe more.

11 In order to assess our feasibility and  
12 being able to do this study at our centers and in order  
13 to see if we could actually screen our patients this  
14 way for the TMH centers potentially interested in  
15 participating in this study, I screened a year's worth  
16 of our cardiac surgery patients to see if we could  
17 generate the score and then to correlate that with  
18 other -- that mirrored our own transfusion practice.  
19 And at our four centers we found an 88 percent  
20 probability of receiving a transfusion with a score  
21 greater than eight to the four so we were pleased.

1                   Now, why those pediatric inclusion  
2 criteria? Well, we're talking about using a multiple  
3 organ dysfunction score for our endpoint and there  
4 really has been no organ dysfunction score validated  
5 for both adults and children. It's too bad but it's  
6 the truth. Specifically there are none for  
7 pedia-cardiac surgery patients. Although the RAC score  
8 is being developed, it's nowhere close to where we  
9 could use it yet. There are multiple organ dysfunction  
10 scores and pediatric modifications of scores. There's  
11 a P-MOD score developed by the folks at UT Southwestern  
12 and there's a score the -- use -- in Tri-PICU study,  
13 the PLOP (phonetic) score but they used different data  
14 in a different scoring range and so aren't  
15 interchangeable. Because they're not interchangeable  
16 we can't analyze our patients together and so we need  
17 an even larger study in order to use two different  
18 systems for two different populations.

19                   So we chose pediatric subjects, who were  
20 greater than -- 12 years of age and greater than or  
21 over 40 kilograms. The "and" is important because some

1 of the children with congenital heart disease don't  
2 grow well and they certainly don't mimic adults. But,  
3 fulfilling both the age and weight criteria, they  
4 should be physiologically similar enough to adults to  
5 justify using the adult scoring system.

6                   Lastly, regardless of what I think and what  
7 I would do anyway, the trump card is the fact this the  
8 surgeons will not randomize our younger patients to  
9 older blood. In fact, we just went through an exercise  
10 with my new surgeon who will insist on the freshest,  
11 youngest product available for his neonatal and his  
12 toddler cardiac surgery patients recognizing we can't  
13 give him fresh whole blood, which makes most blood bank  
14 people's hair start on fire when you start talking  
15 about fresh whole blood. Turns out that many of the  
16 major pediatric cardiothoracic surgery centers in the  
17 country, they do want the freshest, youngest product  
18 available. This information is, this bias, I want to  
19 say is based on the scans and old data and is not able  
20 to be delivered at most pediatric surgery centers. So,  
21 the bottom line is even if we wanted to include younger



1 MGH Minnesota. You see that in this diagram here.  
2 Each of these lines represents ten units of the product  
3 given to cardiac surgery patients. We just pulled  
4 records for a week and what we've been given through  
5 the course of a week. The lighter purple is less than  
6 28 day and the darker purple is over 28 day old  
7 product. And, the range of product that was given in  
8 that given week was 20 percent over 28 days up to 48  
9 percent over 28 days.

10                   So, quite a varied practice in just a  
11 snapshot, and that's all it is, is a snapshot. But my  
12 own surgeons will say, well, of course we'll  
13 participate in the study because you give us all this  
14 crap you've got anyway. So, quite a variability in  
15 practice, very, longer storage age, shorter storage  
16 age.

17                   Then Dr. Triulzi's institution and our  
18 institution added up all the units we gave in that  
19 particular week and bar-graphed by age, the purple area  
20 right here is 21 days. So you can see that all these  
21 to that side of the arrow are products given out that

1 week that were 21 days or older and everything in this  
2 side of the arrow is less than 21 days. 21 days for  
3 the upper storage age limits is also comparable to that  
4 used in other studies, Dr. Hebert's study, the van de  
5 Watering study -- Basran study and Dr. Koch's study.  
6 So less than or equal to ten days versus greater than  
7 or equal to 21-day.

8                   Now, the endpoint. What is the multiorgan  
9 dysfunction scoring system? Well, it's a scoring  
10 system that John Marshall developed back in the  
11 nineties after reviewing the literature on what  
12 multiple organ failure is defined as including in the  
13 critic care literature to that point, comparing that to  
14 300 and some odd patients that he felt had multiple  
15 organ failure and then validating it against another  
16 300 and some patients.

17                   They ranked each of the five organ  
18 symptoms, sorry, six organ systems by degree of  
19 dysfunction, with zero being no dysfunction and four  
20 being very dysfunctional. The scoring system  
21 automatically gives a maximum score of 24, so four

1 times six for anybody who dies. So, that includes both  
2 dysfunction and death in the scoring system itself. It  
3 uses very common, commonly acquired patient  
4 information. The respiratory index renal function,  
5 liver function is indicated by a bilirubin, something  
6 called a pressure adjusted heart rate, which takes into  
7 account filling pressures as well as hemodynamic  
8 status. Hematology is based on the platelet count and  
9 Glasgow Coma score.

10           This is, it's hard to get a reproduction of  
11 this because this is available only -- not as a PDF  
12 file, that's how old it is -- but the ICU mortality is  
13 along here and the multiorgan dysfunction is divided  
14 into categories here, one through four, five through  
15 eight. You can see it on your handout. Hospital  
16 mortality here, dysfunction score here, ICU length of  
17 stay here, organ dysfunction score there. So you can  
18 see that the organ dysfunction score, the light bars  
19 are the original data derived from the literature and  
20 from their first cohort of patients; the dark bars are  
21 the validation score in this ICU mortality percentage.

1 So you can see as the dysfunction score goes up,  
2 mortality goes up, hospital mortality goes up, ICU stay  
3 goes up.

4                   So why should we use that as our endpoint?  
5 Well, like I said, it's easily calculated from readily  
6 available data. It correlates well with mortality. It  
7 incorporates mortality in that you can assign a maximum  
8 score to those folks who die of 24. In contrast to  
9 other scores like CASSIUS and the SOFA and PILAT, it's  
10 not based on management or interventions so you don't  
11 have to take into account whether or not someone  
12 manages pressors like you do, whether or not someone  
13 manages a ventilator like you do, and adjust your score  
14 on that basis.

15                   It is widely used and well validated in the  
16 critical care literature and has previously been used  
17 as an outcome and an endpoint in transfusion studies,  
18 most notably the TRICC and the TRICC cardiovascular  
19 cycle.

20                   Now, in terms of how we set the study up,  
21 we chose to do equivalence study. And why did we

1 choose to do something that was harder than it might  
2 have to be? Well, because many people do believe that  
3 the storage duration of red cell product makes a  
4 clinically important difference in the patients to whom  
5 you transfuse it. And so an equivalence study is a  
6 more rigorous study. It starts out with a null  
7 hypothesis, there is an important difference, and then  
8 tries to rule out that important difference. The  
9 result that's generated is more compelling and we  
10 figured we have one chance to do this.

11           So the null hypothesis is that there is a  
12 clinically significant difference between less than 10  
13 day versus greater than 21 day old product given to  
14 these cardiac surgery patients in terms of how we  
15 calculate the sample sizes. We generated a two-sided  
16 confidence interval. If the entire confidence interval  
17 then lies totally within the prespecified region of  
18 equivalence, the null hypothesis is rejected and you  
19 can conclude that there is no clinically significant  
20 difference in the changes or the development of  
21 multiple organ dysfunction between the two treatment

1 groups.

2                   So what's significant? Well, in the  
3 cardiovascular TRICC subset, the treatment arm  
4 difference in the clinical outcome patients, the Delta  
5 MODS was one point with a standard deviation, 7. In  
6 the TRICC study overall there was a one point  
7 difference in the Delta MODS, and that was felt to be  
8 not clinically significant. Paul Hebert went on to say  
9 in the ABLE pilot, the absolute difference in major  
10 outcomes such as mortality, organ failure, and  
11 infections less than three to four percent -- red blood  
12 cell ages may not be worth pursuing. And one point in  
13 the Delta MODS correlates to around 4 percent  
14 mortality.

15                   So, therefore it seems the differences  
16 between treatment groups in their Delta MODS from their  
17 preop to the worst post-op compounds is for and less  
18 than one point wouldn't justify changing practice.  
19 Therefore our trial uses the next teeniest little  
20 increment over that, plus or minus 1.2 points as the  
21 smallest clinically important treatment difference in

1 the Delta MODS between the two ages of red cell  
2 product.

3           To maybe explain it a little bit more  
4 easily in terms of a diagram, here's equivalence, over  
5 here is minus 1.2, over here is plus 1.2. This is the  
6 average change with the confidence interval here. This  
7 is a different simulation with the average change  
8 confidence interval here and because this confidence  
9 interval crosses minus 1.2, this is not an equivalent  
10 trial; you could not reject the null hypothesis because  
11 that 90 percent confidence interval includes the  
12 equivalence limit. So these would be trials where we  
13 would reject the null hypothesis and this is a trial  
14 where we wouldn't reject the null hypothesis.

15           So based on those statistical  
16 considerations, recognizing this is a two-sided  
17 equivalence test with type one of -- percent power --  
18 the exercise we just went through, we'll need about 800  
19 transfused patients per arm. Now, can we do it? Well,  
20 the blood bank underwent an inventory assessment survey  
21 and of the eight centers who answered -- mine didn't

1   bother to answer which embarrassed me -- eight of eight  
2   centers could meet the needs for participation in this  
3   trial with one to two days notice, meaning that they  
4   could sequester between six and ten units of the  
5   appropriate aged red cell and maintain that inventory  
6   through that patient's hospitalization.

7                   We then looked at patient accrual  
8   assumptions, which even though you need 800 patients  
9   per arm that doesn't mean that's all you need to find  
10   out there in the world. Based on other transfusion  
11   trials, we adopted a very conservative estimate, that  
12   25 percent of those patients who were approached would  
13   consent to participate, based on our data from our own  
14   centers, 80-odd percent of patients with a TRUST score  
15   greater than or equal to four would be transfused in  
16   the intraoperative or postoperative period. Based on  
17   our, again our surveys at our own hospitals, 27 and a  
18   half percent of all of our cardiac surgery patients did  
19   have TRUST scores of greater than or equal to four.

20                   And so working backwards if you need 800  
21   per arm, you have to consent 1800 to make up for those

1 who actually don't get transfused. That means you have  
2 to screen and find 7200 who have a TRUSS score greater  
3 than or equal to four, which means you need to  
4 prescreen or at least approach thinking about 2600  
5 different patients. So you screen 2600, 7200 have a  
6 TRUST score of four, 1800 consent, 1600 wind up  
7 transfused. That's a lot of patients.

8                   However, having said that, we have twelve  
9 of our fourteen centers, who have agreed to participate  
10 based upon their annual cardiovascular surgery  
11 population. That group has 15,000 patients a year,  
12 from which we start screening. So, this study could be  
13 done just within the centers that we have in the  
14 network in approximately two and a half to three years.

15                   Now, the part of the study which is a  
16 little bit more labor intensive and a little bit more  
17 costly but which I maintain is just every bit as  
18 important as the other study is the in-depth physiology  
19 substudy. Basically we have our patients who are  
20 consented to receive other shorter or longer storage  
21 age red cells who go to the ICU, who within that first

1 96 hours of getting to the ICU, if they meet certain  
2 criteria are going to get what we call an index or an  
3 INDA study transfusion.

4                   The primary hypothesis to doing this  
5 physiology substudy is that the shorter storage age  
6 blood does not differ from the longer storage age blood  
7 in its impact on physiologic parameters of oxygen  
8 delivery and consumption, specifically tissue  
9 oxygenation microvascular flow and other measures of  
10 end organ function measured before and after index  
11 transfusion. This is probably highly related to  
12 whether or not nitric oxide can be on or off-loaded and  
13 whether or not the red cell is as deformable when it  
14 ages in the bag as opposed to aging in the body.

15                   Our secondary objectives are to determine  
16 whether or not the physiologic parameters of oxygen  
17 delivery and consumption are associated with clinical  
18 outcomes. Remember, a lot of what we're doing is  
19 transfusing because someone hits a triggered  
20 hemoglobin, define what that trigger is indicating. Is  
21 it a number or is it indicating a physiologic process

1 going on in the patient? As an example, if I'm trying  
2 to rehab after cardiac surgery, is the hemoglobin  
3 sufficient for me to being working on a treadmill or  
4 working on a Stairmaster and is my physiology the same  
5 as someone who is laying in bed pharmacologically  
6 comatose and immobilized because of increased  
7 intracranial pressure. Does that safe hemoglobin  
8 abate? Not enough.

9           The second objective is to try and  
10 determine whether the storage lesion, biochemical and  
11 biophysical changes are associated with the physiologic  
12 response to transfusion. Are those little cells that  
13 are not as deformable able to deform as they circulate?  
14 Are those little cells that don't -- nitric oxide as  
15 well able to regain that -- or nitric oxide or to  
16 generate nitric oxide? Does it happen immediately?  
17 Does it happen as they circulate? Does it not happen  
18 at all? Nobody knows.

19           So to be included in the physiology  
20 substudy you have to be enrolled in RECESS. The index  
21 transfusion needs to be ordered according to standard

1 practice at your institution within the first 96 hours  
2 of ICU admission and you need to be clinically stable  
3 in the two hours prior to the index transfusion. This  
4 red cell product cannot be given because you're being  
5 resuscitated. It is the typical scenario that's just  
6 to make them feel a little better -- transfusion.  
7 Well, we well want people who have had no inotropic  
8 changes, no respiratory support changes, no fever, no  
9 changes of blood pressure, no -- no ongoing blood loss  
10 in order to have a stable physiologic baseline before  
11 this red cell transfusion is given to see what we do to  
12 impact physiology with just the red cell product and  
13 that alone.

14 Exclusion criteria are related to this  
15 phenomena of having a circuit that messes things up.  
16 So that if you are on renal replacement therapy because  
17 of renal dysfunction, if you have an LVAD, IABP or ECMO  
18 support, so you've got a circuit in line, if you are a  
19 cyanotic cardiac heart patient with a PO2 less than 60,  
20 you're going to have different physiology than someone  
21 who is normally pink like they should be. And because

1 we're worried about central nervous system impact  
2 cells, deep hypothermic deep -- rest during surgery,  
3 excludes for this in-depth study.

4           The primary endpoint to the physiology,  
5 study is the maximum change and something called the  
6 thenar eminence tissue oxygenation parameter from the  
7 preindex red blood cell transfusion to the worst value  
8 through day three after the transfusion. What the ST02  
9 is, is the difference between oxygenated and  
10 deoxygenated hemoglobin in the capillaries, in the  
11 muscle. So it's an index of how well your tissues are  
12 oxygenated, and we'll measure those indices right after  
13 transfusion completion, one hour, four hours, and one  
14 days, two days after the transfusion to see if they  
15 change.

16           The secondary endpoints are the maximum  
17 change in something called functional capillary density  
18 in the sublingual microcirculation using Sidestream,  
19 Darkfield illumination, SDF. This basically looks at  
20 red cells rolling around in capillaries under your  
21 tongue from the preadmixed transfusion to the worst

1 value, day three, look at correlations between Delta  
2 MODS and both the thenar synapse and the SDF, tissue  
3 ischemia markers and red cell biochemical changes.  
4 We'll look at comparisons of the NIRS and SDF, end  
5 organ measures, some things like cardiac output, things  
6 like lactate.

7                   We'll see if those are able to predict  
8 development or progression of multiple organ failure  
9 and/or death. They're being used in the Shock Trauma  
10 literature in order to do just that in terms of even  
11 using them in the resuscitation in the emergency room.  
12 And then we'll also look at changes in red cell  
13 characteristics as a function of storage age and how  
14 they impact all these parameters as well.

15                   This is the Spectrophotomer technology,  
16 it's not invasive, used as an adjunct to invasive  
17 monitoring. It's just a little censor that goes over  
18 your thumb. The censor shoots light in. Light comes  
19 back out the other side. And through the software you  
20 get a capillary oxygen saturation reading.

21                   The Sidestream Darkfield imaging using

1 technology called MicroScan looks like a little, it  
2 looks like something my mother used to take my  
3 temperature with. But that's actually where it goes,  
4 is this little probe which is about the size of your  
5 little finger, goes under your tongue and light goes  
6 in, light comes back out. And this is the kind of  
7 picture that is generated and this kind of picture can  
8 be used through software analysis to generate an idea  
9 of how quickly red cells are flowing through the  
10 individual capillaries and what that capillary density  
11 is in that region.

12                   So, in other words, if you're giving red  
13 cells in order to enhance oxygen delivery and oxygen  
14 consumption, if you transfuse someone you do actually  
15 open up capillaries to feed tissues that have  
16 previously been hypoxic. The Shock literature would  
17 suggest that that's what happens with volume  
18 resuscitation. We'd like to know that's what happens  
19 when you give a red cell product. And is it impacted  
20 by the age of the red cell? Can the red cells be able  
21 to reopen small capillaries that are closed, and if

1 they can get them open, can they flow through them and  
2 if they can flow through them how fast do they flow  
3 through them?

4                   To show you we're not kidding when we talk  
5 about in-depth, these are the study measures here.  
6 These the times over here. And, we use the thenar  
7 saturation monitor over the sublingual probe,  
8 hemodynamic measures, cardiac indices. Remember, most  
9 intensivists they think that the cardiac output and the  
10 cardiac index is the gold standard to whether or not  
11 oxygen delivery and consumption are optimized. And we  
12 don't know that for a fact and we don't know what  
13 happens when we give a red cell product to that cardiac  
14 output. It should go up. Maybe it goes down.

15                   There is some data that I'm not supposed to  
16 identify completely but there are some folks who are  
17 doing data looking at oxygen delivery and oxygen  
18 consumption using the thumb saturation monitor looking  
19 at different ages of red cell product and actually in  
20 their preliminary data, which hasn't yet been  
21 published, the oxygen saturation in the thenar

1 saturation monitor drops with products that are over 14  
2 days of age and goes up with products that are younger.  
3 And the drop is not insignificant. The drop is 7  
4 percent if the product is old; it goes up 5 percent if  
5 the product is new. But, again, preliminary data.

6                   We're also looking at blood gases and other  
7 measures of end organ function, troponin and lactates  
8 and we also want to actually look at the storage  
9 lesion, take out an aliquot of the product -- lesions,  
10 guess you should say -- take aliquots from the product  
11 and then take aliquots from the patient after they  
12 have been transfused an hour, day one and day three to  
13 see if there's any change in recovery, any impact of  
14 these red cell transfusions when they're given at  
15 various ages.

16                   We also are even more committed after  
17 discussion today to create a repository so that folks  
18 who are interested in looking at the impact of varying  
19 ages of red cell storage of different phenomena can  
20 have access to these samples. In terms of a sample  
21 size for the substudy, the normal thenar saturation is

1 87 plus or minus 5 percent. It's been validated in a  
2 number of different series and is impacted only if  
3 you're in Miami Beach on a very hot day. Then the  
4 number is actually a little higher. A difference of  
5 plus or minus two percent so a change in the ST02 is  
6 probably not clinically relevant. And so incorporating  
7 that into standard statistical considerations, we only  
8 need a 120 physiology substudy in each of our two  
9 storage ages in order to be able to look at whether or  
10 not there is a change in the thenar saturation that's  
11 significant.

12                   So, in conclusion we've talked about our  
13 proposed prospective randomized controlled trial which  
14 will evaluate the impact of red cell storage and  
15 development of organ failure in transfused cardiac  
16 surgery patients, why we chose those patients, why we  
17 designed the study the way we did. We've also talked  
18 about a proposed substudy of the impact of red cell age  
19 on oxygen delivery and oxygen consumption, which I  
20 maintain is the Holy Grail in why there are transfusion  
21 patients in the first place. And we use both

1 traditional and nontraditional, novel assessment  
2 measures in order to try to get at those ideas. So the  
3 bottom line is stay tuned. We're hopeful that this  
4 will move forward fairly quickly. Questions?

5 DR. BRACEY: Thank you for that extensive  
6 review of the well-designed study. We got time for one  
7 or two questions but we have to move on so that we have  
8 enough time for discussion. Dr. Epstein?

9 DR. STEINER: Yes, sir.

10 DR. EPSTEIN: Well, first of all, thank you  
11 for that very comprehensive overview and much credit to  
12 yourself and NHLBI. The thing that troubles me is that  
13 in the end there's a critical parameter that Delta MODS  
14 of 1.2 decides all and the question is how broadly  
15 clinically is that endorsed; in other words, were there  
16 consultations and so forth, because, you know, if you  
17 get a result of a boundary of confidence of 1.1 or 1.3  
18 you're going to have people that say, well, that was  
19 arbitrary and, you know, the answer could go the other  
20 way.

21 DR. STEINER: Right. That's why we felt

1 fairly reassured that that was the same parameter used  
2 by Paul Hebert in a restrictive transfusion strategy  
3 versus standard of care. And that that study has been  
4 fairly widely disseminated and actually in terms of  
5 changing practice in the recent survey that the  
6 Canadian Board of Anesthesia did, their finding and  
7 practice is indeed changing on the basis of that trial.  
8 A Delta MODS of 1 corresponds to only a few percent  
9 change in mortality. The question is whether or not  
10 you would actually change blood banking practice for  
11 anything less than that. The answer is probably no.  
12 Because if you actually then use some of the  
13 information that we need by doing our survey, if you  
14 recognize that, okay, there probably are two to three  
15 million units a year used in the States for cardiac  
16 surgery, if you want to cut out those that are 21 days  
17 or older because they impact outcome in terms of your  
18 Delta MODS, which is death or organ dysfunction  
19 development, what you're asking people to do in terms  
20 of changing practice is to take out, recognizing that  
21 20 to 40 percent of the products are over 20 days of

1 age, you're asking people to basically not use 600,  
2 800, a thousand units of red cells annually. Does that  
3 cause a shortage? Some centers, probably; other  
4 centers, maybe not. But that seemed like a very  
5 reasonable parameter for us to use because that would  
6 provide incentive to tell us yep, things are changing,  
7 something is changing but we don't actually have to  
8 increase mortality to show that there is a difference.

9 DR. BRACEY: Is the study fully funded  
10 solely funded by NHLBI; what part of the 3.5 million  
11 that we heard about earlier today does this represent?

12 DR. STEINER: Completely different pot of  
13 money. This would be funded completely through the  
14 transfusion medicine and hemostasis clinical trials  
15 network budget which has been already allocated for  
16 five years. Conceivably the important part of  
17 developing a repository is to have those samples  
18 available to someone who is going to go in through that  
19 other mechanism to use some of that other funding money  
20 to be able to access the samples that are saved in  
21 these patients that are being given either shorter age

1 or longer storage age blood and then follow them  
2 serially to see how the lesion changes, does not  
3 change, conceivably things we don't even think of right  
4 now, you know, transfusion related immunomodulation.  
5 Maybe somebody will think of something that they want  
6 to look at. And, we just don't know a lot about it  
7 right now. So that would be why a repository would be  
8 important to be established as well.

9 DR. BRACEY: Dr. Glynn?

10 DR. GLYNN: If I could just add that the  
11 samples could be stored in the -- concentrate that we  
12 have in -- number of resources that --

13 DR. BRACEY: Dr. Carson?

14 DR. CARSON: Hi, Marie. What you said was  
15 that the change in MODS that you're looking at was  
16 equivalent to a 3 to 4 percent difference in mortality.

17 DR. STEINER: Yes. Yes.

18 DR. CARSON: What mortality are you  
19 estimating is going to occur in this population and  
20 what's the baseline; what's the mortality in this  
21 population?

1                   DR. STEINER: That's why we can't do a  
2 straight-out mortality study. The mortality in this  
3 population of complicated or -- cardiac surgery  
4 patients looking back at other studies, looking back at  
5 Elliott Bennett-Guerrero's most recent data is probably  
6 only on the order of 8 percent. If you were going to  
7 look for a change in mortality that was statistically  
8 significantly different from 8 percent, we would have  
9 to have over 10,000 patients enrolled and therefore we  
10 would have to have upwards of 60 to 70,000 patients  
11 screened.

12                   DR. CARSON: But also what you said was the  
13 MODS that you're looking at is one, is equivalent to 4  
14 percent mortality.

15                   DR. STEINER: Yep. Yep. Yep.

16                   DR. CARSON: So why aren't you actually  
17 looking at the same thing?

18                   DR. STEINER: Well, because those are  
19 actually, there's brackets and the brackets are a few  
20 point in each brackets and going from one bracket to  
21 the next bracket are Delta MODS of 1, I don't know

1 exactly where in the bracket an individuals is going to  
2 fall. In the CB subset in the TRICC trial, the folks  
3 were sitting in the 7 to 8 point range and the  
4 difference in their Delta MODS was on the order of  
5 three to four points in either direction; yet their  
6 overall mortality wasn't any different.

7                   So, we wanted to pick an endpoint which  
8 would translate into organ dysfunction development  
9 which may not occur simultaneously as you go through  
10 the perioperative period, which would include mortality  
11 but didn't want to use mortality as the primary  
12 endpoint because that would be a huge study, a long  
13 study, an expensive study and potentially expose  
14 patients to risks that they don't have to take. If a  
15 Delta MODS is on the order of -- if the difference  
16 between the average MODS changes are on the order of 2  
17 percent, I'm sorry, two points, then you're actually  
18 crossing brackets and mortality will start going up.

19                   DR. CARSON: And what about a composite  
20 outcome?

21                   DR. STEINER: Well, it is, it is --

1 DR. CARSON: Well, that is but it's one  
2 that's hard to understand clinically. I mean, I don't  
3 understand what MODS is but I know what death and MI  
4 and infections are.

5 DR. STEINER: Sure. I mean, it is  
6 essentially a composite outcome. It's a score that  
7 standardizes respiratory difficulty, renal failure,  
8 liver failure, DIC, neurologic failure in a  
9 standardized scoring system and it ascribes the highest  
10 possible score to death. So you can look at the  
11 continuum from one organ not working to multiple organs  
12 not working to death without exposing all those  
13 patients to a mortality line --

14 DR. BRACEY: Sorry but we do need to  
15 generate a product later today. So can we move on so  
16 that we'll have success in our round-up? So, our next  
17 presenter is Dr. Larry Dumont. Dr. Dumont actually  
18 spoke to us yesterday. He also spent a lot of time  
19 more recently at the Dartmouth-Hitchcock Medical Center  
20 and he's done extensive work on blood storage studies  
21 and he will present to us on older red blood cells,

1 Biochemical Excellence of Safer Transfusion under the  
2 BEST collaborative view of the evidence.

3 DR. DUMONT: Mr. Chairman, members of the  
4 Committee, thank you for another invitation to speak  
5 with you. And we'll try to get out of here early  
6 today, I hope. I want to give you a background on who  
7 in the world BEST is. It's actually an international  
8 research organization that's intended to improve  
9 transfusion related services through standardization of  
10 analytic techniques, development of new procedures, and  
11 execution of clinical trials in hemotherapy. And  
12 there's a Website that you can look at.

13 This is the Executive Committee of the  
14 collaborative and actually as I was looking at this it  
15 seems more like a Committee of Englanders and New  
16 Englanders with a few friends but I think you'll  
17 recognize a lot of these names. We're organized into  
18 four teams that look at specialized areas, areas of  
19 cellular therapy conventional components such as  
20 platelets and red cells, transfusion safety and  
21 clinical studies.

1           The people highlighted in gold are actually  
2 those that contributed most to what I'm going to speak  
3 on today. We have several scientific members, names  
4 are shown here, and associate scientific members. We  
5 meet twice a year together to talk about studies and  
6 work on those throughout the year. The collaborative  
7 is actually sponsored, the money comes from this group  
8 of companies and the companies also have a membership  
9 and they actively participate in design and execution  
10 of the trials along with the scientific members.

11           So we have heard a lot today about  
12 biochemical and biomechanical changes in red cells that  
13 happen during storage. And actually it's been a great  
14 day. I think it's been very stimulating and I've  
15 really enjoyed it.

16           The main question, though, is you know,  
17 which of these are clinically significant and which  
18 ones are important in what patient groups? I think we  
19 don't know that. Furthermore, I want to just once  
20 again remind us that any change in red cell inventory  
21 dating will have a dramatic affect on the availability

1 of red cells and require a major undertaking to address  
2 in the United States. And this is just a snapshot that  
3 I took from the Website of the Americas Blood Centers  
4 and this is inventory availability of old red cells and  
5 this is the percentage of centers that are members of  
6 ABC that state that they have on their she was one day  
7 or less inventory, two days of inventory, three days or  
8 more. So, for old red cells that gives some indication  
9 of inventory and also something that is followed -- but  
10 we don't have enough information on that.

11           The main points, the best collaborative one  
12 to make today, one is that the current evidence does  
13 not support a change in transfusion policy. We further  
14 feel that observational studies are limited in  
15 determining causal relationships and they may not be  
16 generalizable and they need to be interpreted with a  
17 great deal of caution. We encourage adequate funding  
18 of prospective randomized controlled trials to test the  
19 hypothesis that have been generated that have been  
20 discussed today and we encourage funding of basic and  
21 translational research to examine the pathophysiology

1 of the effects of transfused red cells.

2                   And finally, as has been mentioned a couple  
3 times, we also encourage some funding for operations  
4 research. What do we do about this inventory? How do  
5 we understand it? Can we model it? What would be the  
6 effects if we cut back the storage age.

7                   I want to touch on a few points in the  
8 limitations of observational studies that we have seen  
9 today. And I'm going to use an example the study that  
10 was published in the Boston Globe and Los Angeles Times  
11 and also slowed up in the New England Journal of  
12 Medicine that we have heard of today.

13                   And I know you can't read this slide, I  
14 can't either, but this is table one out of a paper that  
15 shows the characteristics of the two groups, the new  
16 blood group and the old blood group. And if we look at  
17 this, there are several characteristics between the two  
18 groups that are significantly different. And, in fact,  
19 some of these are quite important. They're important  
20 to blood bankers, blood groups and we've got cardiac  
21 risk factors and those types of things. So there's

1 really a heterogeneity between the two groups. So,  
2 that's an important thing to consider about  
3 observational studies.

4                   Another thing that we can see from this  
5 table, that actually -- did a very good job of pointing  
6 out was the difference in the blood usage. And, blood  
7 is not issued randomly. I mean, blood bankers know  
8 that. I have seen other cohort studies where they make  
9 the assumption that blood is issued randomly and that's  
10 not the case. In fact, when I was reading this paper  
11 for the first time my wife, who is a blood banker, was  
12 cooking dinner and I said, I got to this table and I  
13 said, "Hey, Deb. Guess what." I said, "Here's briefly  
14 what this study is they give a table of blood  
15 utilization for the older and the newer. I said, "What  
16 do you think the table says?" She filled that table  
17 out while she was cooking dinner. So blood bankers  
18 understand how blood is issued.

19                   The other thing that's very important about  
20 in this area that hasn't been considered is there are  
21 differences between not only just a phenotype of the

1 red cells but other factors in these patients, some  
2 coagulation factors, for example, and there could be  
3 other factors that are different in these patient  
4 groups.

5                   So why do we worry about that? Well, so  
6 that we can get a prognostic balance between the two  
7 groups that we're trying to ask the question about.  
8 And when we consider prognostic balance for this study,  
9 this was not achieved by the retrospective study  
10 design. That's not a terrible surprise and in fact the  
11 authors sought to adjust for this imbalance through  
12 appropriate statistical analysis. And there's a couple  
13 of points that I want to make regarding that.

14                   First of all, when one adjusts for known  
15 risk factors, that still doesn't guarantee that we'll  
16 achieve prognostic balance in the analysis because in  
17 fact we don't know what we don't know about a clinical  
18 situation. That's why we do randomized trials to begin  
19 with. And, also especially there are so many factors  
20 and when you try to adjust for those in analysis you're  
21 generally not taking into account what could be

1 important interactions in these factors so there's a  
2 real limitation in prognostic balance.

3           Well, let's look at the abstract from the  
4 study. And like most of us you read a paper, you read  
5 the abstract and you look at pictures. So, what does  
6 this abstract tell us? Well, it sites several  
7 important outcomes in hospital mortality, intubation  
8 beyond 72 hours, sepsis, composite complications. The  
9 numbers that are quoted here are all the proportions  
10 were unadjusted in the abstract. They did note that  
11 the adjusted risk or risk adjusted rate of a composite  
12 score that did carry over in significance but you have  
13 to look in the text to find that. And that's shown  
14 here with adjusted odds ration with a confidence  
15 interval.

16           And we saw this picture earlier. Again  
17 this is an unadjusted relationship. And, the legend  
18 for this figure didn't say that it was unadjusted. I  
19 had to look in the text to find that. And then this  
20 figure that we've all seen a lot of, I've made those  
21 numbers a little larger so we can see them. As we

1 know, that this was an unadjusted comparison as shown.  
2 This is noted in the legend. And our suggestion would  
3 be for reporting clarity that uncorrected -- actually  
4 present a misleading picture of the true facts. And  
5 looking at this study we would suggest there might be  
6 some other ways to present the data that would help  
7 others and the reader to understand the effects. For  
8 example, survival that would be stratified on some key  
9 risk factors such as number of transfusions or the  
10 blood group or cardiovascular risk factors would be  
11 very helpful in trying to understand what the data is  
12 telling us.

13                   And then finally we would appreciate to  
14 have some explanation of plausible biological mechanism  
15 where divergence occurs after the clearance of red  
16 cells, of the transfused red cells. That would be  
17 helpful for us.

18                   So then we have to ask questions about  
19 generalizability. And taken from this paper, there  
20 were a number of patients that received a mixture of  
21 older and newer blood products. And it said that they

1 received substantially more blood than either study  
2 group. And we know that the more blood you receive the  
3 risk of mortality goes up. So, what about their  
4 outcomes? We don't know anything about those. And  
5 maybe the results from this study aren't generalizable  
6 within this group. We don't know that. We weren't  
7 given the data.

8           Were there differences over the eight year  
9 study period? You know, we heard earlier from Dr. Koch  
10 that they had this factored in their adjusted analysis  
11 but at least I wasn't able to pick that out of the  
12 paper. Were there differences in practice, not only in  
13 blood products but in surgical practice or other things  
14 that happened over that period of time? And what was  
15 the effect of intraoperative blood salvage? I mean,  
16 this can be a huge mechanical insult to the blood. How  
17 much was used? How much was transfused? We don't know  
18 that. That would be very helpful.

19           And then to generalize, the other patient  
20 populations, we have no idea what the effect is, if  
21 this effect would carry over to others. For example,

1 you heard about Dr. Hebert's preliminary study in that  
2 ICU group where they were randomized, the young and old  
3 group. Even though it wasn't statistically  
4 significant, the group receiving fresh red cells had a  
5 higher mortality. And we'll find out when the ABLE  
6 study is completed if this holds up or not but it's  
7 kind of curious.

8 I would like to suggest that in papers like  
9 there this that you actually need to understand all of  
10 the factors and their effect sizes because we want to  
11 go after the things that have the largest leverage  
12 force to correct any problems that we can find. And I  
13 would like to see these effect sizes reported of all  
14 the independent variables, coefficients, and standard  
15 errors or odds ratios.

16 An example of this was actually from this  
17 group which was published earlier and my understanding  
18 is that the group of patients in this study was also  
19 included in the New England Journal paper. And so they  
20 published adjusted odds ratios, with these factors,  
21 transfusions, the number of units of red cells, FFP,

1 preoperative risk factors, et cetera. And we find this  
2 very helpful in understanding the relative order of  
3 importance for these different factors. For example,  
4 mortality, that's a 77 percent increase in mortality  
5 per unit with red cells transfused. And you can see  
6 that, that curve here from the paper. Of course, you  
7 go out to more and more transfusions, the mortality  
8 goes up quite dramatically.

9           It's also instructive to see that FFP use,  
10 in fact FFP use had a protective effect, and this is  
11 consistent with data that the Army has published in the  
12 use of FFP. That would be very helpful to see in the  
13 total picture because maybe if there is an effect here  
14 or maybe there's an interaction where instead of making  
15 red cells younger we could just, you know, add some FFP  
16 to it and maybe mitigate some of those effects. And if  
17 we compare that -- and I don't know if it's fair to  
18 compare that odds ratio to that odds ratio, because  
19 they weren't analyzed together, but, you know, roughly  
20 speaking, you know, that number is smaller than that  
21 number. So, it would be good to understand that so we

1 could direct our resources appropriately.

2                   So just to hit it once again, we don't  
3 believe that the current evidence supports a change in  
4 transfusion policy. Observational studies are limited.  
5 We really encourage funding for prospective randomized  
6 controlled trials, basic and translational research and  
7 for some operations research in these areas. With that  
8 I want to thank you on behalf of BEST. I'll take any  
9 questions.

10                   DR. BRACEY: Thank you. Questions or  
11 comments from the Committee for Dr. Dumont? If not,  
12 thank you. We are then at the point for public  
13 comment. We did have a statement from the AABB.

14                   DR. TRIULZI: Yeah, I was asked to make  
15 this statement on behalf AABB, ARC and ABC and in the  
16 interest of time and to avoid being redundant, rather  
17 than read this statement I'm going to ask that be  
18 entered into the minutes of the Committee and just  
19 briefly summarize that the organizations would agree  
20 that the data are insufficient to change practice at  
21 this time and all three organizations support the

1 performance of randomized controlled trials to address  
2 the issue.

3 DR. BRACEY: That sounds fine to me. Okay.  
4 So accepted. Why don't we take a 15-minute break --  
5 how about a 10-minute break so then we'll meet  
6 somewhere around 12 after the hour.

7 (There was a break in the proceedings.)

8 DR. BRACEY: Okay. If the members could  
9 come to the table, we're ready to start our final task.  
10 So the task that we have at hand, A, is to reply to the  
11 questions from the Assistant Secretary regarding the  
12 issue of the day, and that is the issue related to the  
13 storage lesion of red blood cells. Over -- yeah, I can  
14 see it. Can everyone see this fairly clearly?

15 MS. FINLEY: Yeah.

16 DR. BRACEY: Okay. Great.

17 MS. FINLEY: It's big.

18 DR. BRACEY: So the first question that we  
19 have to respond to is, number one, do current data  
20 support a change, medical practice for transfusing red  
21 cells stored for as long as 42 days to transfusing

1 cells that are stored for much shorter periods of time?  
2 If so what impact would the shift in practice have on  
3 blood availability. There was a working group that  
4 prepared a draft over lunch and this is the draft.  
5 Based on the availability scientific data -- I guess we  
6 need a comma there -- the Committee is concerned about  
7 the potential toxicity associated with progressive  
8 storage of red cells.

9 I guess we should say the progressive  
10 storage lesion, or, anyway, progressive storage of red  
11 cells particularly in certain clinical settings, e.g.,  
12 cardiac surgery, ICU, trauma. However, absent the  
13 availability of definitive safety data from adequate,  
14 well-controlled prospective randomized trials, and in  
15 the absence of any analysis of the impact of shortened  
16 red cell dating on blood availability, the Committee  
17 believes that a change in practice is premature. The  
18 Committee recommends efforts to optimize blood  
19 management including blood transfusion practices in  
20 these settings through research and promulgation of  
21 clinical practice guidelines based on scientific

1 evidence of safety and efficacy.

2                   So it says a lot but in essence it says  
3 that we feel right now that it's premature to make a  
4 change, that we feel that more randomized trials -- no,  
5 not more -- randomized trials are necessary and that  
6 given the current state of the knowledge that we should  
7 emphasize the appropriate use of blood. So I open up  
8 the floor for comments.

9                   DR. HOLMBERG: Mr. Chairman, during the  
10 break someone from the audience commented to me about  
11 the donor recruitment aspect and I was wondering if the  
12 Committee would like to consider putting something in  
13 that last sentence where it talks about to optimize  
14 blood management we consider putting donor recruitment  
15 and blood management or should that be a separate  
16 fragmentation?

17                   DR. BRACEY: Well, you know, actually the  
18 donor recruitment aspect I think is an important  
19 consideration but I think we would like to leave this  
20 separate right now because it really gets to the point  
21 needing to make sure that we focus on fostering

1 appropriate use of our resources. So I wouldn't want  
2 to dilute that. I don't know, how does the rest of the  
3 Committee feel?

4 MS. FINLEY: Great. We've recommended  
5 donor management, donor improvement many, many times  
6 before with much more detailed recommendation. Those  
7 are still our recommendations. So I think we've got  
8 that covered.

9 DR. BRACEY: Okay.

10 DR. KLEIN: I think we ought to focus on  
11 the question as you had it.

12 DR. BRACEY: Okay. Does anyone think that  
13 we need, given the statement at hand, is there  
14 something that's glaring or even not glaring that's  
15 missing an important element to answer this question or  
16 respond? Yeah, Dr. Murphy.

17 DR. MURPHY: Thank you. Could I just ask a  
18 question? Has anybody tried to get through an  
19 institutional review board yet? Do people not think  
20 that some efforts of the Committee's will have concerns  
21 about randomizing people to older blood, given the

1 state we're in -- tend to do is randomize people to  
2 standard, which is blood -- compared to younger  
3 products and if it's going to be a problem in  
4 recruiting patients, recruiting examiners in trials in  
5 the future, maybe somebody should have a consideration  
6 on this.

7 DR. BRACEY: Yeah, I think I heard Dr.  
8 Triulzi make a statement earlier today about equipoise  
9 and as the trial that, the RECESS trial was designed,  
10 it was designed with the notion that the standard of  
11 care would be the control arm, and the treatment arm  
12 would be the better, so, you want to comment on that,  
13 Dr. Triulzi?

14 DR. TRIULZI: Yeah, I think that Dr.  
15 Murphy's question is valid. And the problem with  
16 standard of care is you get a mixture and you get into  
17 that issue of some of the patients in the, quote,  
18 control arm are going to get a mixture of fresher blood  
19 and older blood. And if we really want to answer this  
20 question most definitively it would be ideal to have  
21 the older blood group get only blood, we originally had

1 it at 28 days older, the New England Journal paper came  
2 out and there was such a fervor, we said, you know,  
3 this may play into the mind of either patients and/or  
4 surgeons or IRBs and we moved it back to 21. And that  
5 came from approximate median age of what's currently  
6 being transfused is somewhere between 17 and 21 days.

7               So it's not truly standard of care but it  
8 approximates the median age of blood that's currently  
9 being used now. In the equipoise for IRB would say  
10 today a patient who goes for cardiac surgery could get  
11 exclusively blood that's over 40 days old. And so  
12 we're currently using that blood now. And so the  
13 control arm of 21-day or older would be trying to  
14 approximate the median age blood or older for that  
15 group. And I would be, when we discuss this  
16 specifically we would cite that there's data showing  
17 that older blood is no different than younger blood and  
18 that's why it's ethical to randomize patients to either  
19 arm of that study. And, as Dr. Klein mentioned, there  
20 are things that are lower risk with older blood, CMB  
21 transmission, graft versus host disease and

1 microchimer. So, I think all those things would go  
2 into the IRB discussion.

3 (There was a loud echo from the microphone)

4 DR. BRACEY: Sorry. That was a "powerful"  
5 statement. Dr. Klein?

6 DR. KLEIN: Dividing those as you have over  
7 there, very valid, one is the IRB issue and the other  
8 is the recruitment of centers issue. I think one could  
9 further argue that the IRB, very justifiably, I  
10 certainly feel comfortable with the preliminary study  
11 from Canada suggesting that perhaps the younger blood  
12 is less beneficial. And while I don't really believe  
13 that's the case, what I do believe is that we don't  
14 flow.

15 And, I think if you don't know, and there's  
16 no obvious toxicity that in terms of equipoise, in  
17 terms of risk to the recipient that one ought to do  
18 this study. I do think it might be a harder sell for  
19 individual centers because no matter what you say,  
20 older is worse, so, they say, and I think it may be  
21 quite a trick to convince people that in fact we don't

1 know the answer to this question, which is in fact why  
2 we're doing this study.

3 DR. BRACEY: Ms. Finley?

4 MS. FINLEY: If I can make a suggestion.  
5 Whether the trials are being randomized or not is a  
6 level of detail that I don't think we need in the  
7 recommendation for it to be effective. Those decisions  
8 will be made by the NIH or by funders or whatever, by  
9 FDA at some point in the future. And I understand that  
10 there is a very complicated issue, ethically as well as  
11 scientifically, and maybe we should just take out the  
12 word "randomized" and be done with it.

13 DR. BRACEY: Any other comments from the  
14 Committee members? Now, one of the things that we have  
15 heard from the multiple presenters is in fact that we  
16 should have randomized trials . So, Committee, what do  
17 the other members of the Committee think on this topic,  
18 on this subject? Dr. Benjamin?

19 DR. BENJAMIN: I would think it's a  
20 critical point here. You could take out more controls  
21 and take out prospective but the randomized is the key

1 issue here that we need to have to get rid of all these  
2 confounding issues.

3 DR. BRACEY: Dr. Epstein, comment?

4 DR. EPSTEIN: I agree with Dr. Benjamin. I  
5 think if you do not have prospective randomization you  
6 will never resolve the situation.

7 DR. BRACEY: Okay. So the consensus is  
8 we'll keep -- okay. To move on then, I would like to  
9 move on to the second -- well, okay. I tell you what.  
10 Let's do it piece by piece. Motion for approval of  
11 this statement?

12 DR. RAMSEY: Can we see an overview of  
13 what's coming, I guess?

14 DR. BRACEY: No. Yes, you sure may. The  
15 next question is, is there a need for additional  
16 research to evaluate if red cells stored for longer  
17 periods of time are as safe and clinically effective as  
18 cells stored for shorter periods of time, and then also  
19 to understand the nature of the storage lesion.

20 And so, here the draft statement is, the  
21 Committee finds that the available scientific data from

1 observational and limited prospective clinical studies  
2 are insufficient to resolve concerns regarding the  
3 safety of progressive stored red cells. Therefore  
4 prospective adequately controlled clinical research is  
5 needed to correlate basic science findings on the  
6 adverse effects of progressive red cell storage with  
7 clinical outcomes. In parallel, studies are needed to  
8 establish the efficacy of transfusion therapies in  
9 various clinical settings. Committee recommends new  
10 and sustained investment in basic and clinical research  
11 in this area -- yeah, that's good, I think that's a  
12 great point. So comments from the Committee? Dr.  
13 Epstein?

14 DR. EPSTEIN: Well, just the grammar again.  
15 If we add the word "randomized" there I think you need  
16 to move the "adequately," adequate prospective  
17 randomized control.

18 DR. BRACEY: Ah, yes. Right.

19 DR. TRIULZI: I thought the point that  
20 we're trying to get at there is that the basic science  
21 findings have yet to make any clinical correlation and

1 logically you're going to go through some phase one,  
2 phase two studies that won't be randomized before you  
3 decide what to invest large sums of money in phase  
4 three. For instance, we don't know which of the nitric  
5 oxide compounds are most important or which of the,  
6 whether it's the membrane lesion or the content. You  
7 know, we've heard both sides of that equation so that  
8 not all the clinical trials need be randomized  
9 additionally. And, so, I wondered about maybe just  
10 taking that word out because that particular issue,  
11 which is to explore the clinical relevance of the basic  
12 science findings does not necessarily need to be  
13 randomized as we build the database for that. In fact,  
14 it probably won't be.

15 DR. BRACEY: Actually, that's a good point.

16 MR. LOPEZ: Perhaps it can be moved down to  
17 where you have in parallel studies are needed, maybe  
18 that's where, because that would be more clinical  
19 trials, so maybe that's where that order wording is  
20 needed.

21 DR. BRACEY: Down to --

1 DR. TRIULZI: It's really just a  
2 grammatical issue.

3 DR. BRACEY: Yeah, we just get rid of  
4 "randomized" because that opens it up. Dr. Epstein?

5 DR. EPSTEIN: Well, I guess this comes back  
6 to Ann Marie's point. If we simply say adequately  
7 controlled, it really does cover the waterfront. We  
8 don't have to define it right now. Just adequately  
9 controlled clinical research, clinical study.

10 DR. BRACEY: Yeah, so adequately  
11 controlled.

12 DR. EPSTEIN: I mean, I think most of us  
13 believe that unless it's ultimately done in a  
14 prospective randomized fashion, we're not going to have  
15 a definitive answer. We don't have to dictate that in  
16 this recommendation.

17 DR. BRACEY: Okay. Comments from the  
18 Committee? Does everyone feel comfortable with the  
19 statement? Then let's move on to the next one. Now,  
20 here we reached a point of actually not putting  
21 anything in. It says, what impact would a change in

1 transfusion medicine practice have on blood  
2 availability? And I think what we heard is that, that  
3 the Committee is concerned about the impact on, of a  
4 change in storage life on red cell availability and we  
5 would like to see modelling to be able to assess the  
6 impact. Would that be a --

7 DR. BENJAMIN: Well, since we don't know  
8 what change we're advocating maybe we should be saying  
9 that any change should be adequately modelled and  
10 explored before implementation.

11 DR. BRACEY: Okay.

12 MS. FINLEY: I would question whether, do  
13 we even need to answer that in number three? We are  
14 calling for a change. We're specifically saying we  
15 don't have enough information to recommend a change.

16 DR. BRACEY: Correct. I think one of the  
17 things that we talked about, though, and I think a  
18 point was brought up is that rather than to wait until  
19 the time of the change is upon us that we should  
20 consider developing models in advance so that, you  
21 know, in a "what if" scenario if all of a sudden the

1 data suggested that we need to be using cells that are  
2 21 days old, we wouldn't have to do the modelling at  
3 that point. Why not assess it now?

4 MS. FINLEY: I don't have any, any actual  
5 objection to that. I just think it's overly  
6 prescriptive and sometimes when you're trying to send  
7 something up, up the chain, in HHS, you don't want to  
8 put anything more, more prescriptive than it needs to  
9 be.

10 DR. BRACEY: Right.

11 MS. FINLEY: I think, you know, just  
12 stating it has to be adequately modelled before we make  
13 a change is just, you know, water under the bridge.

14 DR. BRACEY: Okay. Dr. Epstein?

15 DR. EPSTEIN: I think we've already  
16 answered all the questions and that we ought to instead  
17 of parsing our answers, you know, one through four,  
18 here are questions one through four and here are our  
19 collective or aggregated answers.

20 DR. BRACEY: Yeah. Well, you know,  
21 actually when we were at the point that we had the

1 break at lunch, that we pretty much, yeah, right, we  
2 pretty much were at that point but I just wanted to  
3 make sure that everyone feels comfortable with leaving  
4 numbers three and four as being addressed referred to  
5 the other question, the other answer as well.

6 MS. FINLEY: I think our answers are very  
7 comprehensive.

8 DR. BRACEY: Dr. Duffell, comment?

9 DR. DUFFELL: I was just going to say,  
10 number four, I mean, it's kind of a bad question. I  
11 mean how can you nerve say --

12 DR. BRACEY: Yeah, yeah, right.

13 DR. DUFFELL: I mean, the answer has to be  
14 yes, right? So, I'm not sure the answer, though, to  
15 number four is implicit in 2.2, though.

16 DR. BRACEY: Dr. Epstein?

17 DR. EPSTEIN: Well, I think calling for  
18 clinical research to establish the efficacy of  
19 transfusion practices is really the answer to number  
20 four.

21 DR. BRACEY: Okay. Then what I hear is

1 that given the response that we had to items one and  
2 two or questions one and two, that the Committee feels  
3 comfortable with the statements as made as drafted.  
4 Comments from the floor? Dr. Dumont?

5 DR. DUMONT: Just, do the answers in one  
6 and two, do they actually address some initiatives to  
7 evaluate operations research? We're talking about a  
8 lot of science research, but operation research,  
9 because that's really what item three is getting to. I  
10 think there needs to be some more and specific  
11 resources directed to that point.

12 DR. BRACEY: Okay. That's a good point.  
13 So let's see. The question then is -- oh, Dr. Klein?

14 DR. KLEIN: I would just like to make one  
15 comment on that and on question four. As I read  
16 question four I was a little taken aback because I  
17 don't think that the responsibility for improving red  
18 cell products in this country should be specifically  
19 the responsibility of the so-called blood banking  
20 industry. At the very least it ought to be a joint  
21 responsibility.

1                   In many countries, of course, the blood  
2 banking industry is the government so the enemy is us  
3 but in this country I don't think that we have a  
4 mandate and certainly the Secretary has no mandate to  
5 tell the blood banking industry what they ought to do.  
6 So I think the answer to that, which we don't have  
7 to do specifically, it's contained up above where it  
8 really suggests new investment is necessary but not  
9 that it comes specifically from the blood banking  
10 industry. In terms of operational research, I think  
11 that's something that the Committee might want to think  
12 about, whether that ought to be something that is  
13 investment from the federal government, whether that  
14 perhaps is the responsibility of the blood banking  
15 industry.

16                   DR. BRACEY: Okay. What are the other --  
17 how do any of the other Committee members feel about  
18 our making a statement specifically on operational  
19 aspects?

20                   DR. LOPEZ: I have another comment. Do we  
21 need to even address number three and number four or

1 did everybody address just one and two?

2 DR. BRACEY: Well, actually what we're  
3 saying is if we could cover the numbers three and four  
4 with the broader statements made under one and two but  
5 I think that the question that we are sorting right now  
6 is adding a piece with respect to operational analysis,  
7 operational studies, how to manage inventories. And,  
8 so, does the Committee feel that that's something that  
9 we should specifically insert? Dr. Triulzi?

10 DR. TRIULZI: Yeah, you know, unless we  
11 specifically ask, I'm not sure an outcome of those  
12 statements would be the blood centers going back and  
13 looking at what would be the impact of shortening the  
14 red cell out-date to 35 or 28 days, and we would be  
15 back at our next meeting and still not know what the  
16 potential impact of that might be.

17 DR. BRACEY: So one of the things that --  
18 sorry. On of the things I was just looking at is right  
19 where the cursor is there, the Committee recommends  
20 efforts to optimize blood management. I was trying to  
21 insert blood and inventory management but that

1 doesn't --

2 MS. FINLEY: No. I think that gets to the  
3 heart of it right there.

4 DR. LOPEZ: That's says it --

5 DR. BRACEY: Just, just blood management?

6 MS. FINLEY: Blood and inventory  
7 management.

8 DR. BRACEY: Blood and inventory  
9 management.

10 MS. FINLEY: I think it's an important part  
11 of this.

12 DR. BRACEY: Okay. So we'll put, so,  
13 optimize blood and inventory management.

14 MS. BENZINGER: Blood is the inventory.

15 DR. LOPEZ: Blood is inventory.

16 DR. POMPER: Yeah.

17 DR. BRACEY: Well, we're thinking of blood  
18 management in terms of hemotherapy.

19 DR. TRIULZI: Most of that management I  
20 think is in the blood center as opposed to in the  
21 hospital.

1 DR. LOPEZ: I mean, one of the concerns I  
2 have is that we cannot give all the responsibility to  
3 the blood center.

4 DR. TRIULZI: Yes.

5 DR. LOPEZ: I mean, when you talk about  
6 blood management, it's inventory, utilization. It's  
7 everything. I mean, you can't put all the weight on  
8 the blood center because, you know, on the hospital  
9 side we are responsible for the blood center to make  
10 blood available.

11 DR. BRACEY: Right.

12 DR. LOPEZ: And that's not the number of  
13 units you collect, used up blood.

14 DR. BENJAMIN: When you talk about blood  
15 management there are you talking about how you manage a  
16 patient to transfuse appropriately and to minimize  
17 blood usage? It's really -- so, I think there is a  
18 difference between inventory management and blood  
19 management.

20 DR. TRIULZI: It is the intent.

21 DR. BRACEY: So, if we -- yes, Dr. Pomper.

1 DR. POMPER: Just to, I agree with Dr.  
2 Dumont's comments that there is also probably a  
3 difference between just efforts to manage the inventory  
4 as opposed to, say, operations research and sort of  
5 trying to understand all the components that go into  
6 this. It's not just the blood center. It's not just  
7 the hospital. In fact, there's a lot of other  
8 variables that may affect the overall inventory. So I  
9 think operations research was a reasonable concept.

10 DR. BRACEY: Dr. Epstein?

11 DR. EPSTEIN: My suggestion would be that  
12 we remove the phrase blood management from the  
13 statement optimize blood management and blood  
14 transfusion practice. Let that statement just be  
15 optimize blood transfusion practices.

16 DR. BRACEY: And it covers both.

17 DR. EPSTEIN: And the "as" statement, as  
18 needed to be supportive of operational research to  
19 optimize, you know, blood inventories. So here we're  
20 now saying government should just do it but, you know,  
21 if there's an unmet need to get the job done, so as

1 needed to be supportive of industry efforts or, or  
2 industry -- well, let's just go back -- of operational  
3 research on optimization of blood inventories.

4 DR. BRACEY: Just leave it as a separate  
5 statement.

6 DR. EPSTEIN: Right.

7 DR. BRACEY: Yeah, that parses it.

8 DR. EPSTEIN: Right.

9 DR. BRACEY: So, "as needed."

10 DR. HOLMBERG: As needed supportive of  
11 operational research --

12 DR. BRACEY: As needed.

13 MS. FINLEY: In management of blood  
14 inventories.

15 DR. TRIULZI: On management --

16 MR. EPSTEIN: On management of blood  
17 inventories.

18 DR. BRACEY: Okay. So then I guess we just  
19 need a -- operations, yeah, and maybe a comma.

20 DR. HOLMBERG: Where?

21 DR. BRACEY: After "needed."

1 DR. EPSTEIN: We need to turn it into a  
2 real sentence but as needed HHS should or the Committee  
3 recommends that the Secretary be supportive, something  
4 like that.

5 DR. BRACEY: Okay. All right. So I we've  
6 added another element. I think it's a good element. I  
7 would propose that we have you read through it. Dr.  
8 Epstein?

9 DR. EPSTEIN: Coming back to the issue  
10 about randomization, I think we hit on some good  
11 language when we were on the next question. We should  
12 now reflect it backwards up to the first paragraph.

13 DR. BRACEY: So to go "adequately control"  
14 again?

15 DR. EPSTEIN: Just "adequately control  
16 clinical trials."

17 DR. BRACEY: It's right after, adequately  
18 controlled, yeah.

19 DR. HOLMBERG: Right here?

20 DR. BRACEY: Yeah. That would be clinical  
21 trials, just trials. Great. Yeah. All right. Are we

1 satisfied? Is there a motion?

2 DR. RAMSEY: So moved.

3 DR. BRACEY: Okay, motion by Dr. Ramsey.

4 MS. FINLEY: Second.

5 DR. BRACEY: Seconded by Ms. Finley. Any  
6 more discussion? No? In that case all in favor?

7 DR. HOLMBERG: We're just barely at a  
8 quorum.

9 DR. BRACEY: Okay. You have to catch a --

10 DR. RAMSEY: Chicago.

11 DR. BRACEY: Any opposed? Any abstentions?

12 All right. So it passes. Now, we are not yet done  
13 because we've answered the responses, we've answers the  
14 questions of the Secretary, but yesterday when we heard  
15 the adverse event reporting, we also identified some  
16 areas for improvement in terms of transplantation  
17 activity.

18 And, so, the statement that we have  
19 prepared, we discussed a bit earlier today, first thing  
20 this morning and then we revised that statement so it  
21 reads, "Whereas the HHS Advisory Committee on Blood

1 Safety and Availability is charged with advising the  
2 Assistant Secretary on public health issues related to  
3 the safety of tissue and organ transplantation, after  
4 review of the current status of safety and availability  
5 reporting for organs and tissues, the Committee  
6 recommends, one, enhanced acquisition of data on tissue  
7 distribution and utilization to allow current  
8 surveillance activity to better determine the frequency  
9 of adverse events, i.e., we need a denominator; two,  
10 capture of appropriate data regarding etiologic agents  
11 of infections reported following organ transplantation  
12 to allow for better assessment of infectious risk  
13 related to transplantation, i.e., we need to know what  
14 the specifics are rather than just the total aggregate  
15 number of patients infected; three, support the  
16 acceleration of rapid -- we need to scratch -- rapid  
17 infectious disease assays for use in for use in the  
18 organ transplant setting as a strategy to improve both  
19 safety and availability of organs; four, -- and this is  
20 added -- enhance utilization of CMS data bases to  
21 improve monitoring of organ transplantation practices

1 and related outcomes through cooperative arrangements  
2 with other agencies; and then five, the Committee  
3 recognizes that there is a gap in organ availability  
4 which needs further study."

5                   So, the two pieces that were added, were  
6 added, Dr. Solomon made a recommendation that we add a  
7 piece on utilizing the CMS databases so that we bring  
8 all the information that we have at hand together. And  
9 then we did have some discussion yesterday in terms of  
10 the gap and understanding more about why that gap  
11 exists and clearly it's within our realm to consider  
12 availability. Dr. Benjamin?

13                   DR. BENJAMIN: I think if you're going to  
14 mention a gap you should tell us where the other part  
15 of that gap is. It's between demand and availability.

16                   DR. BRACEY: Okay. Recognizes that there's  
17 a gap between demand and --

18                   DR. BENJAMIN: Organ.

19                   DR. BRACEY: -- and organ -- yeah. Dr.  
20 Duffell.

21                   DR. DUFFELL: Yeah, I'm not sure I

1 understand the last one, the way it's stated because, I  
2 mean, maybe I'm naive in my thinking but the gap is  
3 because of lack of donors, isn't it? I mean, why do we  
4 need to study this? The gap exists because there's not  
5 enough donors, or maybe I'm missing something.

6 DR. BRACEY: Well, but I think that the  
7 reason to study the gap is in much the same way that we  
8 want to understand what are the factors that prohibit  
9 people from, you know, signing on an organ donation  
10 card. I mean, we recognize that there is a gap and we  
11 just want to stimulate an assessment of how we can  
12 improve it.

13 DR. DUFFELL: Yeah, I guess what I'm saying  
14 is to be more direct, I mean, it's not just to study  
15 it, it's what do we need to do to get people to die, I  
16 mean --

17 DR. BRACEY: Okay.

18 DR. DUFFELL: Maybe I'm being --

19 DR. BRACEY: I understand what you're  
20 saying, yeah.

21 DR. DUFFELL: When you say a study, I mean,

1 a study of what?

2 DR. BRACEY: It's further study to do what?

3 DR. DUFFELL: Study the demographics of  
4 those who do donate?

5 DR. BRACEY: Yeah.

6 DR. DUFFELL: You know, study the  
7 conditions under which they donate?

8 DR. BRACEY: Right.

9 DR. DUFFELL: I mean, I'm just saying the  
10 gap is there's not enough donors.

11 DR. BRACEY: Right.

12 DR. DUFFELL: So that's what we need to  
13 look at to say what do we got to do to improve  
14 donations.

15 DR. BRACEY: Right. Right. Exactly. I  
16 understand. Dr. Bowman?

17 DR. BOWMAN: Yes, I don't want to speak out  
18 of turn for Dr. Burdick from the Division of  
19 Transplantation, over at HRSA, but number five seems  
20 almost like an afterthought, after a progressive  
21 four-point progression of logic with -- three and four.

1 And even further the point is actually Dr. Burdick's  
2 division of HRSA is, that main focus of that decision  
3 is oversight of -- and organ donation efforts in this  
4 country. And, extensive studies have already been done  
5 and are ongoing and the Secretary already has an organ  
6 donor collaborative team -- about five, or six years  
7 now, some fairly, it's a breakthrough collaborative, a  
8 lot of important gains in exact factors that you raised  
9 about what keeps people from signing donor cards or  
10 consenting to the organ donation -- things like that.  
11 So I'm not sure if it really fits in with the intent of  
12 the rest of the points in the set of recommendations.

13 DR. HOLMBERG: I would also agree with Dr.  
14 Bowman. There has been one study report issued and I  
15 believe the funding for another study report through  
16 HRSA was just let so I think that there's adequate  
17 research. It's just the implementation of that.

18 DR. BRACEY: Okay. Dr. Epstein?

19 DR. EPSTEIN: Well, what happened here is  
20 that we saw three graphs, they were, kidney, heart,  
21 liver, and, you know, there were these diverging curves

1 and it kind of raised eyebrows. We recognize that we  
2 did not have presentations or discussion of data on the  
3 gap between demand and availability of organ, we just  
4 were uncomfortable, those of us drafting this straw-man  
5 recommendation, leaving it at that. So perhaps,  
6 perhaps we should just strike it on the concept that it  
7 wasn't really presented or discussed.

8 DR. BRACEY: Yeah, I think so because  
9 again, as you say, it was the shock value of the curves  
10 that generated the concern and it sounds like  
11 initiatives have taken place and so let's strike it.  
12 All right. Oh, Dr. Bowman?

13 DR. BOWMAN: Yeah, and I have one other  
14 comment on number four, regarding the use of CMS  
15 databases, the CMS databases are there for researchers  
16 to use. There are some administrative -- and some  
17 requirements for confidentiality and incryption and all  
18 these other things that are required to use those  
19 databases. But, you know, again I wasn't here  
20 yesterday but more to the point I think is that the CMS  
21 databases do have fairly good information at least on

1 diagnostic information on the kidney transplant  
2 recipients but the vast majority of actually liver  
3 transplants and pancreas transplants and heart  
4 transplants are actually not Medicare beneficiaries and  
5 are actually looking at commercial private health  
6 insurance systems in this country. So if you're trying  
7 to encourage a more comprehensive acquisition of  
8 clinical data to correlate with outcomes and things  
9 like that, with other federal agencies it will be a  
10 limited set of liver and heart transplants and it will  
11 be primarily for kidney transplant recipients.

12 DR. BRACEY: Would it be possible, would it  
13 be fair to say, enhance utilization of the CMS and  
14 other databases? I don't know what access we would  
15 have to those databases.

16 DR. BOWMAN: I just wanted to clarify for  
17 members of the Committee that it would be a limited set  
18 of transplant recipients that this data will be  
19 available for and it will include the commercial  
20 private insurers but CMS will not have difficulty in  
21 responding to whatever the Secretary asks the agency,

1 for number four, that would be not a problem.

2 DR. BRACEY: All right. Ms. Benzinger?

3 MS. BENZINGER: Yes. Well, the OPTN data  
4 on a national level is available on Website, on  
5 patients waiting on transplant lists. And to say that  
6 there isn't, you know, a reason for us to be involved  
7 in it I think is just, you know, putting your head in  
8 the sand. At this point I have a very positive look at  
9 it, daily, in the last ten months there is an increase  
10 of over 3,000 patients waiting on the list. You're  
11 looking at 99,000 people waiting for a transplant. I  
12 don't think that it's unreasonable or unrealistic for  
13 us to be putting, in here, and we're trying, you got  
14 after, post cardiac gap which has not been approved  
15 everywhere for organ transplantation and that is a  
16 place we can improve donor availability as well as --  
17 out, presumed consent. So I think that we can make  
18 those recommendations if we agree to do that. So I  
19 think there are options available for us to take.

20 DR. BRACEY: So you would, when you're  
21 speaking of donor availability, so you're speaking back

1 to the bullet number five?

2 MS. BENZINGER: Well, it seemed like there  
3 was a negative that we didn't have a place in that,  
4 saying that there was a need to reduce that gap between  
5 the want-a-transplant and the get-a-transplants.

6 DR. BRACEY: Right. Right. Dr. Bowman?

7 DR. BOWMAN: No, I didn't mean to convey  
8 that impression at all. Actually, the gap is huge.  
9 There's a huge problem and the Secretary is very much  
10 aware of that and so was the previous Secretary, going  
11 back to I think 2001, and he was actually the one who  
12 initiated the donor collaborative program currently  
13 managed by HRSA. So I think my point was that there  
14 was at least one other advisory committee which is the  
15 Advisory Committee on Transplantation, which is similar  
16 to this Committee but has had a focus on, probably,  
17 primarily organ donation and to some extent recipient  
18 issues. And that advisory committee is under auspices  
19 of HRSA and I didn't want to -- I was a little  
20 reluctant to see maybe two ships cross in the night  
21 here passing each other and, not, making it look like

1 one didn't know what the other is doing, is my only  
2 point about that. It's a very, very huge problem.

3 DR. BRACEY: Dr. Ramsey?

4 DR. RAMSEY: Would there be value in  
5 rewording that statement to say that the Committee  
6 supports ongoing efforts to improve organ availability;  
7 would that be useful?

8 DR. BRACEY: I mean, it would be useful in  
9 the generic sense but I guess the thought was that much  
10 of that activity is actually happening and there's a  
11 relatively intense focus on it. And I think that's the  
12 point that Dr. Bowman was making. I mean, it's good,  
13 it's a great statement, and, so perhaps the thing to do  
14 is for us, you run to this issue where there's, as you  
15 say, they're two ships and maybe the thing to do is  
16 first to have some presentation on that so that we can  
17 better understand what the current efforts are and how  
18 we relate to those efforts.

19 DR. BOWMAN: I'm sure Dr. Burdick would  
20 love to come here at some point present an overview on  
21 what that division has done and the advisory committee

1 on organ transplantation, which is analogous to this  
2 advisory committee for blood safety availability, for  
3 over the last six, seven years.

4 DR. BRACEY: Dr. Triulzi?

5 DR. TRIULZI: Yeah I was along the same  
6 lines going to suggest that we not have that statement  
7 and ask the Assistant Secretary if he would like this  
8 Committee to address that issue, and, if so, then we  
9 should set you up the appropriate people to come and  
10 present the data that we would need to make some  
11 meaningful recommendations or he may feel comfortable  
12 that the Transplant Committee is adequately addressing  
13 it and this Committee doesn't need to address it.

14 DR. BRACEY: Okay. So we can make that --  
15 okay. And I'll put that in the letter as part of the  
16 text that accompanies this. Dr. Lopes-Plaza?

17 DR. LOPEZ: Going to question number four,  
18 how are you going to address the non CMS database, are  
19 you going to put private healthcare or data or how are  
20 you going to address that?

21 DR. BRACEY: Well, that's actually, so, do

1 we want to say CMS and other databases or just CMS?

2 CMS and other databases. Oh, yes. Dr. Bowman?

3 DR. BOWMAN: I think it's appropriate to  
4 say other available databases.

5 DR. BRACEY: Okay. Other available.

6 DR. BOWMAN: Because actually HRSA does  
7 have oversight over OPTN and also has oversight over  
8 collection of recipient, transplant recipients, even  
9 those who were not transplant for CMS Medicare  
10 purposes.

11 DR. BRACEY: Okay.

12 DR. BOWMAN: So the scientific registry of  
13 recipients is maintained by HRSA.

14 DR. HOLMBERG: Let me also comment. I  
15 commented to a few people yesterday concerning this.  
16 ARC is in the process of finalizing the patient safety  
17 organization ruling and part of that is actually the  
18 various data elements that need to be collected for  
19 organs, tissues, blood, for all the safety measures.  
20 So I think that, you know, just adding other available  
21 databases is sufficient.

1 DR. BRACEY: Okay. So we have now four  
2 elements and maybe we could --

3 DR. LOPEZ: Number four you cut out the  
4 word "and."

5 DR. BRACEY: Oh.

6 DR. LOPEZ: Medicare service and other  
7 available.

8 DR. POMPER: CMS and --

9 DR. BRACEY: Oh, oh, oh, CMS "and," "and,"  
10 right, right, right, sorry. "And," all right. So then  
11 the statement.

12 DR. RAMSEY: I was going to suggest another  
13 "and" actually in the first whereas, transplantation,  
14 comma, and after review, just to be help that along --

15 DR. BRACEY: You're saying and after  
16 review?

17 DR. RAMSEY: Yeah. Yeah.

18 DR. BRACEY: Dr. Bowman?

19 DR. BOWMAN: And in that same sentence this  
20 is a minor clarification but it says after review of  
21 the current status for safety and availability

1 reporting. I think the reporting of availability  
2 organs and tissues is fairly extensive. I think it's a  
3 safety piece that may be insufficient. That's a good  
4 thing.

5 DR. BRACEY: So you would say strike  
6 availability from --

7 DR. BOWMAN: Right.

8 DR. BRACEY: Yeah, because availability is  
9 actually what got us to bullet five.

10 DR. BOWMAN: Right.

11 DR. BRACEY: Yeah. Right. Okay. Good.

12 DR. TRIULZI: What we heard was that we  
13 don't know how often the tissues are being used.  
14 Organizations that distribute know they distribute to a  
15 hospital X number of tissues but they really don't know  
16 how many actually make it to a patient versus outdated,  
17 destroyed or whatever. And so while we may have good  
18 data for organs, that doesn't exist for tissue.

19 DR. BOW: So maybe the term is utilization,  
20 not availability. The concern is the use of those  
21 tissues in terms of tracking, monitoring.

1 DR. BRACEY: Yeah, that's a good point. So  
2 safety and utilization. Okay. Are we near the point  
3 for a motion?

4 DR. TRIULZI: So moved.

5 DR. BRACEY: We have a motion and a second?

6 MS. FINLEY: Second.

7 DR. BRACEY: Okay. Motion by Dr. Triulzi  
8 and second by Ms. Finley. More discussion? Hearing  
9 none, all in favor? All right. All opposed?  
10 Abstentions? Thank you very much. We have a  
11 successful product. All right. Dr. Holmberg, there  
12 has some discussion about the change in the schedule.  
13 Our next meeting, there was some discussion about  
14 change in schedule.

15 DR. HOLMBERG: Our next meeting is  
16 scheduled to be the end of October; however, there have  
17 been some conflicts on that date and so we're still in  
18 a stage of flux in establishing that date for sure. We  
19 will get back to you hopefully in the next two weeks on  
20 the accurate date. The other thing that I should  
21 mention to you is that we are also looking at dropping

1 back to maybe two advisory committees a year in the  
2 interest of trying to serve conserve funding. So, you  
3 know, if there are hot issues we can always have a  
4 third one but probably drop back to two advisory  
5 committees.

6 DR. BRACEY: Okay. Motion for adjournment?

7 All right. So moved. Thank you.

8 (Proceedings adjourned at 3:57 p.m.)

9

10

11

12

13

14

15

16

17

18

19

20

21

1 State of Maryland.

2 Baltimore County, to wit:

3 I, ROBERT A. SHOCKET, a Notary Public of  
4 the State of Maryland, County of Baltimore, do hereby  
5 certify that the within-named proceedings personally  
6 took place before me at the time and place herein set  
7 out.

8 I further certify that the proceedings were  
9 recorded stenographically by me and this transcript is  
10 a true record of the proceedings.

11 I further certify that I am not of counsel  
12 to any of the parties, nor in any way interested in the  
13 outcome of this action.

14 As witness my hand and notarial seal this  
15 18th day of June, 2008.

16

17

\_\_\_\_\_  
Robert A. Shocket

18

Notary Public

19  
20 My Commission Expires:

21 November 1, 2010